

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH
OFFICE OF SURVEILLANCE & EPIDEMIOLOGY

+ + + + +

DRUG-INDUCED LIVER INJURY CONFERENCE XV

+ + + + +

THURSDAY
MARCH 19, 2015

+ + + + +

The Conference met in the University of Maryland Marriott Conference Center, Chesapeake Ballroom, 3501 University Boulevard East, Hyattsville, Maryland, at 8:00 a.m., John Senior, Paul Watkins, Mark Avigan, and Lana Pauls, Organizers, presiding.

PRESENT

JOHN SENIOR, Organizer
PAUL WATKINS, Organizer; Moderator, Session IV
MARK AVIGAN, Organizer; Moderator, Session III
LANA PAULS, Organizer
ALBERT CZAJA, Moderator, Session III
GYONGYI SZABO, Moderator, Session IV
JACK UETRECHT, Speaker, Session III
EINAR BJORNSSON, Speaker, Session III
DAVID BERMAN, Speaker, Session III
ARIE REGEV, Speaker, Session III
PAUL HAYASHI, Speaker, Session IV
TOM URBAN, Speaker, Session IV
MERRIE MOSEDALE, Speaker, Session IV
DAN ANTOINE, Speaker, Session IV
BRETT HOWELL, Speaker, Session IV
MINJUN CHEN, Speaker, Session IV
ALEXANDER GERBES, Speaker, Session IV
ANREAS BENESIC, Speaker, Session IV

TABLE OF CONTENTS

Welcome	
Albert Czaja.....	4
SESSION III: AUTOIMMUNE HEPATITIS OR DILI -- ONE OR BOTH? Moderators: Mark Avigan and Albert Czaja	
Navigating Immunologic Responses to Drugs and Biologics to Predict Clinical Outcomes	
Jack Uetrecht.....	5
Autoimmune Hepatitis - Transitioning from "Idiopathic" to Explainable	
Albert Czaja.....	31
Autoimmune DILI - Recognition and Management	
Einar Bjornsson.....	49
Discussion	
All Present.....	69
Why are Drug-Associated Immune Organ Injuries Important?	
Mark Avigan.....	85
Autoimmune Risks of Immune-Oncologic Therapies -- What are we Learning?	
David Berman.....	115
Dose-Related DILI, Hypersensitivity Type -- A Case Series.	
Arie Regev.....	136
Discussion	
All Present.....	151

TABLE OF CONTENTS

REVIEW AND DISCUSSION OF A PROPOSAL FOR A LIVER SAFETY RESEARCH CONSORTIUM	
Paul Watkins.....	169
John Senior.....	179
SESSION IV: HOT NEW RESEARCH AND CLINICAL BREAKTHROUGHS IN THE DILI FIELD	
MicroRNA-122 Uses and Applications	
Gyongyi Szabo.....	187
DILIN Experience with Hy's Law in Patients with Existing Liver Disease	
Paul Hayashi.....	215
Update on Genetic Susceptibility to DILI	
Tom Urban.....	237
Personalized DILI Risk Management -- The Tolvaptan Initiative	
Merrie Mosedale.....	251
HMGB1 Variants Determine if DILI is Benign or Dangerous	
Dan Antoine.....	266
Serum Cytokeratin 18 as a Biomarker or Liver Injury	
Brett Howell.....	291
The "Rule of 2" - Do Drug Properties Predict DILI?	
Minjun Chen.....	312
Transforming Monocytes into Hepatocyte Surrogates	
Alexander Gerbes.....	324
Andreas Benesic.....	329
Discussion	
All Present.....	336

Adjourn

1 P-R-O-C-E-E-D-I-N-G-S

2 Session III 19 March 2015 (8:00 a.m.)

3 **Moderators: Mark Avigan, Albert Czaja**

4 Dr. CZAJA: Good morning and welcome to this
5 session of the conference. This session is
6 entitled "Autoimmune Hepatitis or DILI -- One or
7 Both?"

8 My name is Albert Czaja and I am Professor
9 Emeritus of Medicine at the Mayo Clinical in
10 Rochester, Minnesota. And I will be co-moderating
11 this session with Dr. Mark Avigan, who is the
12 Associate Director for Critical Path Initiatives
13 at the FDA Center for Drug Evaluation and Research.

14 Our goals this morning are to describe the
15 forms of immune-mediated liver damage that are
16 clinically manifested as drug-induced
17 autoimmune-like hepatitis or and classic or
18 idiopathic autoimmune hepatitis. And we hope that
19 this discussion will actually lead to vigorous
20 interchange that will allow us to explore

1 everyone's opinion about the nature of these
2 different diseases and the best approach to
3 diagnosing them and ultimately managing them. Now,
4 with that foreword, I shall begin the session by
5 introducing our first speaker, who is Dr. Jack
6 Uetrecht, Professor of Pharmacy and Medicine at the
7 University of Toronto. And Dr. Uetrecht will
8 present a topic entitled "Navigating Immunologic
9 Responses to Drugs and Biologics to Predict
10 Clinical Outcomes." Dr. Uetrecht, welcome.

11

12 **Uetrecht photo, biosketch, abstract**

13 **JU#1:** Thank you very much. I don't know how many
14 of these meetings I have been to but they are always
15 very enjoyable. And John is just the energizing
16 bunny to keep this going the way he does.

17 I didn't choose this title but I think it is
18 not inappropriate. So, in other areas, there hasn't
19 been much question that idiosyncratic drug
20 reactions are immune-mediated. But in the area of
21 hepatology, that was not the case. I think more

1 and more people have decided that these things
2 really maybe immune-mediated. And certainly,
3 they have the same characteristics as other types
4 of idiosyncratic reactions, in terms of delay and
5 onset, et cetera.

6 **JU#2:** So, there are several pieces of
7 evidence that I am going to point out. I can't
8 point to all four screens at one time, so I
9 apologize. But some of the evidence that these
10 things are immune mediated are at first just the
11 characteristics. I mean this is the sort of
12 typical type of characteristic for immune-mediated
13 reaction. The delay and onset, often a rapid onset
14 on re-challenge, et cetera.

15 There is often the presence of eosinophils,
16 fever, rash, et cetera, that suggest an immune
17 response but even if those features aren't there,
18 it does not mean that these reactions are not
19 immune-mediated. Often we see the presence of
20 anti-drug antibodies. That doesn't prove that it
21 is an immune-mediated reaction. These could be

1 an epi phenomenon but, again, it is consistent with
2 the hypothesis that these reactions are
3 immune-mediated. And unless you know what the
4 reacting metabolite is and can make the appropriate
5 antigen, you can't test for antidrug antibodies.
6 And so the number of drugs for which this has been
7 shown is relatively limited. More recently, there
8 have been HLA associations. And again, that is
9 pretty strong evidence that the reactions involved
10 are immune-mediated. And finally, there are
11 positive lymphocyte transformation tests. So, in
12 this case, you take cells from the patient who has
13 had an idiosyncratic reaction, incubate with the
14 drug involved, and if they proliferate, that means
15 that the lymphocytes have recognized the drug.
16 And that is, I think, very strong evidence that the
17 reaction is immune-mediated. I used to not
18 understand why this reaction would be positive
19 because, in most cases, we think it is a reacting
20 metabolite of the drug and not the parent drug that

1 is responsible. So, why is the immune system
2 recognizing the parent drug?

3 **JU#3:** What we have seen is that once you get
4 strong immune response, you get epitope spreading,
5 so that often, the immune system recognizes the
6 parent drug, as well as drug-modified protein. So,
7 even though I think these things are
8 immune-mediated, I would be the first to admit that
9 we do not have conclusive evidence, in most cases.
10 It is just this pattern that looks like an immune
11 reaction. So, how do we really test the hypothesis
12 that reactions are immune-mediated? What we
13 really want to do is test patients but we want to
14 know what happens before the patient gets sick.
15 What are the events leading up to this immune
16 response? And of course, we don't know who is
17 going to have an idiosyncratic reaction. So, that
18 is very difficult to do.

19 As in other areas of medical research, animal
20 models are very important but we always have to make
21 the link between the animal model and humans. We

1 are really interested in humans, not animals, and
2 unless the characteristics of the animal model
3 faithfully reproduce what happens in humans, they
4 are really not very useful.

5 Unfortunately, although animals have
6 idiosyncratic reactions, they are just as
7 idiosyncratic in animals as they are in humans.
8 And unless you have a pretty high incidence, it is
9 not going to be very useful. And if these reactions
10 are immune-mediated, you would think that we could
11 just stimulate the immune system and that would
12 allow us to develop -- easily allow us to develop
13 animal models. I don't know how many, and I
14 mentioned this last year, how many graduate student
15 years of mine and other people, I am sure, have been
16 wasted trying to develop animal models by
17 stimulating the immune system in various ways and
18 it never worked. And this, to a large degree,
19 mimics what we see in humans, that patients with
20 preexisting liver disease and inflammatory
21 conditions like inflammatory bowel disease are not

1 at significantly increased risk. And so,
2 stimulating the immune system, somehow the immune
3 system seems to be able to differentiate the drug
4 from other inflammatory stimuli.

5 **JU#4:** A classic drug that was not believed to
6 be immune-mediated is isoniazid. And part of this
7 was based on classic studies done almost four
8 decades ago with isoniazid. And it was shown very
9 clearly that in rats, when you gave a really high
10 dose of the drug, you got acute toxicity that was
11 mediated by a metabolite of acetylhydrazine. But
12 it is the wrong model in the wrong species because
13 that is not the sort of toxicity that we see in
14 humans. It is always delayed in onset. And when
15 we looked at the metabolism, in fact, in the upper
16 right-hand corner, you see so that we developed an
17 antibody that recognizes with isoniazid bound to
18 protein and in four different mice you see covalent
19 binding to a range of different proteins. On the
20 left you see the same immunoblots from control
21 animals that weren't treated. So, you can see that

1 the antibody is quite specific for recognizing
2 isoniazid-modified proteins. It's bioactivation
3 of the parent drug, not acetylhydrazine in these
4 mice, that is leading to the covalent binding.

5 If you compare mice and rats, there is a
6 little bit of covalent binding of the parent drug
7 in rats but much less than in mice. And if you look
8 at human microsomes, you see covalent binding of
9 the bioactivation of the parent drug. So, we more
10 like mice than we are to rates.

11 **JU#5:** And in collaboration with Will Lee, we
12 took sera from quite a few patients that had
13 isoniazid-induced liver failure and we see a
14 pattern, a different pattern in different patients
15 of antibodies that either recognize isoniazid or
16 autoantibodies that recognize one or more of the
17 P450s that form the reacting metabolites.

18 Again, this isn't proof that it is
19 immune-mediated but certainly consistent with that
20 hypothesis. And we needed to know what the
21 reactive metabolite was, in order to be able to test

1 this hypothesis. But still, when we treat mice with
2 a reasonable dose of isoniazid that would give
3 comparable to therapeutic concentrations in
4 humans, we don't see any toxicity. So, we don't
5 have an animal model.

6 **JU#6:** And so why is it so difficult to develop
7 animal models of idiosyncratic drug reactions?
8 Well, they may have the wrong MHC repertoire or T
9 cell receptor repertoire. But if you remember
10 that immunoblock that I showed you with covalent
11 binding of isoniazid, it looks like a coomassie
12 blue stain. It is binding to any protein that has
13 a lysine on it. And each one of these proteins is
14 processed to several peptides. So, there ought to
15 be some MHC T cell receptor complex that would
16 recognize one of those peptides. Another
17 possibility is you don't have sufficient
18 activation of antigen presenting cells. But
19 again, we tried to do that and at least the ways
20 that we tried to do it didn't work. We have also
21 tried to increase the formation of reactive

1 metabolite, to deplete glutathione, to do all sorts
2 of things and none of those methods work.

3 And it appears as if, especially in the liver,
4 the default immune response is immune tolerance.
5 That is the key, I think. So, of course you are
6 familiar with the fact that if you give a whole
7 bunch of people isoniazid, in most cases, nothing
8 happens. So, if you consider Homer normal, that
9 is the result.

10 **JU#7:** In a study that I will show you in a
11 minute, up to 20 percent of the patients will have
12 a bump in ALT but you can continue to treat with
13 isoniazid, the ALT comes back to normal, nothing
14 happens. That is adaptation. And only the rare
15 patient, less than one in a thousand, develops
16 liver failure. Now, if the injury is mediated by
17 the immune system, this adaptation must be immune
18 tolerance. And a good example, I think, of that,
19 Paul mentioned this yesterday with lumiracoxib, it
20 is associated with a specific HLA genotype that is
21 pretty good evidence that it is immune-mediated.

1 And it is the same HLA association for the mild
2 toxicity as it is for the severe toxicity. So,
3 again, if that reaction is immune-mediated, that
4 adaptation must involve immune tolerance.

5 So, although it is difficult to do
6 prospective studies in humans, we did it with
7 isoniazid because the incidence of mild injuries,
8 actually pretty high, up to 20 percent. And what
9 we found is that in those patients that had a mild
10 increase in ALT and the ALT just went from what is
11 it, 18 to 93, I think only one of the six patients
12 that had an increase was over 100 and they continued
13 on treatment and it goes back to normal.

14 **JU#8:** In those patients that had an increase
15 in ALT, you see an increase in Th17 cells. That
16 is in the upper right-hand corner, this is one
17 example but all six of them had an increase -- what
18 did I say -- all those that had an increase in ALT
19 had an increase in Th17 cells, which are
20 proinflammatory cells but they also had increase
21 in T cells producing IL-10, which is an

1 immunosuppressive cytokine. So, even in these
2 mild injuries, we are seeing a risk immune
3 response. With isoniazid, we don't see any liver
4 injury in mice at a reasonable dose of the drug.

5 **JU#9:** But with another drug that causes both
6 liver injury and agranulocytosis, amodiaquine,
7 here is a metabolic scheme showing the formation
8 of the reactive metabolite. We do, in mice, see
9 mild injury. So, there is an increase in ALT. We
10 continue treatment with the drug, and then you get
11 adaptation. Again, we believe this is immune
12 tolerance. So, if it is immune tolerance, one
13 possible way to overcome that immune tolerance is
14 to immunize. We know what the reactive metabolite
15 is. We can bind this molecule to protein. The
16 immunized mice with amodiaquine-modified hepatic
17 proteins, along with adjuvant, and then we wait a
18 couple weeks and then we treat with oral
19 amodiaquine. We should now get a much stronger
20 immune response.

1 **JU#10:** And it may be hard for you to see but
2 the bars that are elevated are the ones that were
3 not immunized. We get an increase in ALT. But in
4 those that were immunized, that immunization,
5 instead of making a liver injury worse, it was
6 actually protective. It was a paradoxical
7 response.

8 **JU#11:** And if you look in the liver of these
9 animals, you see an increase in myeloid-derived
10 suppressor cells and T regulatory cells. So, this
11 immunization actually induced immune tolerance,
12 even though we used adjuvant to the drug-modified
13 proteins.

14 **JU#12:** So, another strategy, if the dominant
15 response is immune tolerance, maybe if we block
16 immune tolerance, we could get more injury. And
17 as you probably know, there are a lot of drugs being
18 developed now to block immune tolerance for the
19 treatment of cancer. And it is a very promising
20 area of research. And two of those molecules are
21 PD-1 and CTLA-4.

1 **JU#13:** And this is a complicated slide but you
2 see in wild-type animals, again with amodiaquine,
3 there is an increase in ALT but, despite treatment,
4 the ALT goes back to normal.

5 **JU#14:** If we co-treat with anti-CTLA-4, we get
6 a stronger immune response and more injury, but it
7 still goes back to normal, despite continuing
8 treatment.

9 **JU#15:** On the right side, these are PD-1
10 knockouts. Again, we get a stronger immune
11 response and injury but it resolves, despite
12 continued treatment.

13 But if we co-treat these animals with
14 anti-CTLA-4, now -- and the scale is different
15 here, now it doesn't resolve and we get
16 histopathology of piecemeal necrosis that looks
17 just like what happens in humans with severe liver
18 injury. Now, despite the fact -- and the ALTs are
19 not that high but, as you know, clinically, I would
20 much rather have a high ALT from ischemic liver
21 injury than a sustained liver injury over a long

1 period of time. And we do see an increase in
2 bilirubin in these animals, along with the
3 histopathology but we don't get overt liver
4 failure.

5 **JU#16:** There is decreased function but not
6 overt liver failure. And we also see, and again,
7 this, I am sure, is difficult to see but in the wild
8 type animals, there is an increase in T cells that
9 express PD-1, that express CTLA-4, et cetera. In
10 the PD-1 knockouts, there is an increase in Treg.
11 So, even though we are getting a strong immune
12 response and liver injury, there is still -- the
13 immune system is trying to down regulate that
14 immune response. In the lower quadrant here, you
15 see also an increase in cytotoxic T cells. These
16 are CD8 T cells that express granzyme B and
17 perforin. And so this suggests that injury may be
18 mediated by cytotoxic T cells. And there is
19 evidence clinically that some of the most severe
20 liver injury is mediated by cytotoxic T cells.

1 **JU#17:** So, what we did is deplete CD8 T cells
2 and sure enough, it totally protects these animals
3 from liver injury.

4 **JU#18:** So, how about other drugs? And Arie
5 was very enthusiastic when I presented some of this
6 data last year with a different way of trying to
7 block immune tolerance. We weren't seeing injury
8 with isoniazid, so I was a little hesitant at that
9 point. But when we used the same system with
10 isoniazid and I say here it increases liver injury,
11 that is actually a misstatement because without
12 using PD-1 knockouts and anti-CTLA-4, we don't see
13 any liver injury but in that model, we do see liver
14 injury.

15 The same thing happens with nevirapine. We
16 don't see any liver injury in wild type animals but,
17 in this model, we see liver injury with
18 nevirapine. So, it looks like blocking immune
19 tolerance is exposing the potential of a drug to
20 cause immune liver injury. And there is another
21 drug that I can't tell you about because of the

1 confidentiality agreement but a drug that is used
2 to treat cancer by modulating immune response, we
3 are seeing the same picture. Now, there are a lot
4 of different cells and molecules involved in immune
5 tolerance.

6 And Lance Pohl has a paper that has been
7 accepted in *Hepatology*, where he looked at it from
8 a different perspective. Lance did work with
9 halothane some three decades ago, that actually
10 convinced me that these events were immune
11 mediated. And Lance, for three decades, has been
12 trying to develop animal models without success.
13 But finally, he succeeded. Unfortunately, he had
14 a stroke and has had to close down his lab. But
15 instead of going after immune tolerance with PD-1
16 and CTLA-4, he depleted myeloid-derived suppressor
17 cells and he gets liver injury with halothane that
18 looks very similar to what happens in humans. There
19 are multiple mechanisms, redundant mechanisms for
20 immune tolerance and any one of these can have an
21 effect. The other interesting point is that some

1 of the most severe liver injury, I think, is
2 mediated by CD8 T cells and we showed that we could
3 block that in the amodiaquine model, in his model,
4 it looks more like halothane. He sees
5 eosinophilia and if he blocks CD8 T cells, it
6 doesn't protect but if he blocks CD4 T cells, it
7 does protect. These drugs are causing immune
8 responses that damage the liver but the immune
9 response can be different with different drugs and
10 even the same drug in different people.

11 **JU#19:** And how about biologicals? It is not
12 surprising that drugs like interferon alpha would
13 cause autoimmune hepatitis. It is stimulating the
14 immune system. What is more surprising is that
15 drugs that are supposed to be immunosuppressive
16 like infliximab also can cause autoimmune
17 hepatitis. TNF alpha is doing more -- it is more
18 complicated than just that this is an
19 immunosuppressive drug. And not only can some of
20 these drugs used to treat cancer cause liver injury
21 but they can interact with other drugs. So, for

1 example, if you co-treat with ipilimumab, and I am
2 not that familiar with that drug, but the drug can
3 cause an increase in ALT but you combine with
4 anti-CTLA-4 and it markedly increases the risk of
5 severe liver injury. So, as we develop these drugs,
6 we are going to see drug interactions with other
7 drugs because it uncovers the potential of the drug
8 to cause liver injury.

9 **JU#20:** And I will go through this quickly
10 because it is not liver and I need to go through
11 it quickly. We developed an animal model for
12 nevirapine-induced skin rash. Now, it is a lot
13 easier to induce an immune response in the skin than
14 it is in the liver because the liver, the default
15 immune response is, again, immune tolerance.

16 **JU#21:** And again, we have found that in rats
17 we get a skin rash that looks very much like what
18 happens in humans and this table lists the
19 different characteristics; it is very similar
20 between rats and humans.

1 **JU#22:** And we were able to show that there is
2 a reactive sulfate formed in the skin that is
3 responsible for this skin rash.

4 **JU#23:** And then the next question is, because
5 we could prevent the covalent binding and the rash
6 with a topical sulfotransferase inhibitor, the
7 next question is how does covalent binding of this
8 reactor metabolite that we showed clearly is
9 responsible for the rash, how does it induce this
10 immune response that leads to the skin rash?

11 **JU#24:** And it was known that chemically
12 reactive agents applied to the skin -- poison ivy,
13 or dinitrochlorobenzene -- cause contact
14 hypersensitivity. And it is known from that
15 literature that animals that are deficient in the
16 inflammasome apparatus are resistant. And although
17 we were getting a reactive metabolite formed in the
18 skin from a precursor that came from the liver,
19 otherwise it should be a similar mechanisms to
20 contact hypersensitivity.

1 **JU#25:** So, maybe activation of inflammasomes
2 is an important early step in the induction of an
3 immune response. And this is just a pictorial of
4 the inflammasome. It is a complex structure.
5 What is important is that procaspase gets activated
6 to caspase 1 and that converts pro-IL-1 beta to
7 active IL-1 beta. And if something increases the
8 level of IL-1 beta, and you can block it with a
9 caspase 1 inhibitor, that means it must have come
10 from an inflammasome.

11 **JU#26:** So, we looked at pairs of drugs that
12 caused idiosyncratic reactions, one of which is
13 much safer than the other. So, we compared
14 telaprevir with boceprevir. Telaprevir had a
15 black box warning because of severe skin rash,
16 boceprevir doesn't. Dimethyl fumarate is a drug
17 being developed for the treatment or has been
18 developed for the treatment of multiple sclerosis,
19 is associated with contact hypersensitivity and a
20 bunch of adverse reactions.

1 Ethacrynic acid is an old drug. It is also
2 a microacceptor. If you are a chemist, you know
3 what that means. If you are not, you probably
4 don't. But these drugs are chemically reactive
5 but yet ethacrynic acid, although it is known to
6 covalently bind to protein, forms a glutathione
7 adduct, I went through the literature and I
8 couldn't find one report of an idiosyncratic
9 reaction to ethacrynic acid. I don't know why.

10 **JU#27:** So, when we looked in in vitro
11 assay of the ability of these drugs to activate
12 inflammasomes, so this is a dose response curve,
13 telaprevir activated inflammasomes. We could
14 block it with an caspase inhibitor. Boceprevir
15 didn't significantly activate inflammasomes. A
16 different scale here, dimethyl fumerate really
17 activated inflammasomes and ethacrynic acid, not
18 a bit, even though it covalently binds to protein.

19 **JU#28:** One thing that I have been interested
20 in for a long time is clozapine and olanzapine.
21 Clozapine causes agranulocytosis, as mentioned

1 yesterday, can also cause liver injury. In most
2 patients treated with the drug, there is an
3 increase in IL-6, neutrophilia. It clearly causes
4 an immune response. Olanzapine doesn't do any of
5 those things and I thought the difference was dose.
6 The structures are very similar, as shown below,
7 and both form a reacting metabolite. The dose of
8 clozapine is more than an order of magnitude
9 greater than olanzapine. So, I thought that was
10 the major distinction between the two.

11 **JU#29:** But in terms of inflammasome
12 activation, at the same concentration, clozapine
13 activates inflammasomes and olanzapine doesn't.
14 So, there is some other difference than dose
15 between these two drugs. I don't know what it is
16 but it clearly shows up with inflammasome
17 activation.

18 **JU#30:** Amodiaquine, the drug that we used for
19 the liver injury model, it also activates
20 inflammasomes. So, this may be a biomarker for the
21 ability of a drug to cause an idiosyncratic

1 reaction. Now, with drugs that are intrinsically
2 reactive, that is easy to test. Even with
3 clozapine, there is enough mild peroxidase in these
4 THP-1 cells, we get bioactivation and covalent
5 binding. I didn't show you the data but we did
6 covalent binding of clozapine to the THP-1 cells.
7 But if the drug requires P450 bioactivation, these
8 cells don't have a significant amount of P450.

9 My best guess, and it really is a guess,
10 is that maybe the hepatocytes make a reactive
11 metabolite. It is known that hepatocytes release
12 exosomes, or microvesicles, or whatever you want
13 to call them. These would be taken up by antigen
14 presenting cells, Kupffer cells, and other antigen
15 presenting cells and proactivate them. And so we
16 have started studies looking for this.
17 Unfortunately, in the way that we isolate them, it
18 is just killing the THP-1 cells. So, I think we
19 have to go back and not use a simple way to isolate
20 them but use a more complicated way.

21 Am I running out of time? Yes, okay.

1 **JU#33:** So, what are risk factors in humans?
2 Genetic factors are, obviously, important. T cell
3 receptors are formed by random recombination
4 events. So, even identical twins have different
5 T cell receptor repertoires. I talked about
6 activation in the immune system and, again,
7 clinically, in the ways that you might expect
8 preexisting liver disease, et cetera, that doesn't
9 seem to be important. Deficiency in immune
10 tolerance, the patients that have idiosyncratic
11 reaction do not have the degree of immune tolerance
12 deficiency that these animal models do. So, I think
13 we are uncovering something but I don't think that
14 is a major issue in humans, although polymorphisms
15 in IL-10 can affect the type of immune response you
16 get and the mortality of DILI. It doesn't seem to
17 affect the risk.

18 One point I would like to make is I think the
19 immune system is a product of everything. It is
20 like the brain. It is a product of everything it

1 has ever been exposed to and so different people
2 are going to respond differently.

3 **JU#34:** We'll pass over that one.

4 **JU#35:** So, I think valid animal models are
5 important. There is compelling evidence, I think
6 that most idiosyncratic reactions, including
7 idiosyncratic DILI is immune-mediated, genetic
8 factors play a role but there are other factors that
9 are important. I think, again, environment, you
10 know it is nurture-nature issue again. I think
11 environmental factors important but we don't know
12 exactly what they are. They are not the obvious
13 environmental factors. I think prior exposure to
14 different pathogens set how our immune response
15 responds.

16 And finally, the most severe reactions are
17 ones that persist after you stop the drug. And if
18 you know what the mechanism is, whether with some
19 of the most severe, it is due to cytotoxic T cells
20 or with other ones that have a more immunoallergic
21 type. I think we have an opportunity window to

1 treat these patients, so that they don't develop
2 overt liver failure, so they don't die or require
3 a liver transplant. And if we could treat them
4 better, I think it would be much less a serious
5 problem. In other fields of idiosyncratic
6 reactions, attempts are made to do this but, for
7 some reason, although patients are often treated
8 with steroids, there has been no good trials to see
9 what works in treating these patients.

10 **JU#36:** And finally, I want to thank the people
11 that actually do the work, not me, and I thank you
12 for your attention. And I'm sorry I went long.

13

14 **Czaja photo, biosketch, abstract**

15 **AJC#1:** My task is to discussion idiopathic
16 autoimmune hepatitis, which, by definition, is
17 defined as a disease of unknown cause. But I think
18 as I proceed through this presentation, you will
19 begin to identify themes that resonate quite nicely
20 with what Dr. Uetrecht has already mentioned.

1 **AJC#2:** My goals are actually to describe the
2 advances that are transitioning autoimmune
3 hepatitis from and idiopathic disease to an
4 explainable disease.

5 And I will also indicate that this transition
6 is far from complete, as new knowledge actually
7 brings new questions about the nature of this
8 entity.

9 **AJC#3:** Idiopathic autoimmune hepatitis is an
10 inflammatory liver disease, which, by definition,
11 is of unknown cause. Now, it is characterized by
12 the presence of autoantibodies, hyper gamma
13 globulinemia, especially high levels of serum in
14 globulinemia levels and, by the presence of
15 interface hepatitis on microscopic examination.

16 **AJC#4:** Now, codified diagnostic criteria for
17 definite autoimmune hepatitis requires the absence
18 of viral markers. And there must be no or low
19 likelihood of alcohol-related or drug-induced
20 disease. Additionally, the immune manifestations
21 must be substantial, as reflected in serum

1 autoantibody and gamma globulinemia levels and
2 there must be no evidence of homeostasis, either
3 biochemically, clinically, or histologically.

4 Now, liver disease is of similar immune
5 manifestations but with known causes must be
6 designated by their etiologic agent and,
7 therefore, they must be classified separately from
8 idiopathic autoimmune hepatitis, mainly because
9 their treatments and their outcomes are different.

10 **AJC#6:** Now, two types of autoimmune hepatitis
11 have been described, based, primarily on their
12 serological markers. Type 1 autoimmune hepatitis
13 is characterized by the presence of antinuclear
14 antibodies or smooth muscle antibodies. And Type
15 1 autoimmune hepatitis affects all age ranges and
16 it is the most common form of this disease
17 worldwide.

18 **AJC#7:** Type 2 autoimmune hepatitis is
19 characterized by antibodies to liver, kidney,
20 microsome type 1. It affects mainly European
21 children. And in fact, it is relatively uncommon
22 in the United States both in children and in white
23 North American adults with this disease.

1 Interestingly, both types of genetic
2 predispositions but they actually differ in regard
3 to their susceptibility alleles.

4 **AJC#8:** Now the susceptibility alleles that
5 have been implicated in Type 1 autoimmune hepatitis
6 are DRB1*0301 and 0401 in white, Northern European
7 and North American patients.

8 DRB1*0404 and 0405 have been associated
9 with an increased occurrence of Type 1 autoimmune
10 hepatitis in Mexicans, Japanese and mainland
11 Chinese.

12 And HLA DRB1*1301 is the primary
13 susceptibility allele in Argentina, Brazil, and
14 Venezuela, especially in very young children.

15 The susceptibility alleles that have
16 been implicated in Type 2 autoimmune hepatitis are
17 DRB1*07 in British, German, and South American
18 patients and DRB1*03 and DB1*02 in Spanish
19 patients. A report in the DQB1*0201 is in strong
20 linkage to this equilibrium with DRB1*07 and
21 DRB1*03. Therefore, it has been proposed as the

1 principal genetic determinant of Type 2 autoimmune
2 hepatitis. The diversity of these susceptibility
3 alleles that have been associated with autoimmune
4 hepatitis really suggest that individuals are
5 selected to develop this disease by their genetic
6 predisposition to respond to certain sensitizing
7 antigens and that, in fact, because of these
8 different susceptibility alleles, different
9 sensitivity antigens are likely to generate the
10 same clinical disease.

11 **AJC#9:** Susceptibility alleles do encode the
12 antigen binding groove of Class II molecules of the
13 major histocompatibility complex. And the
14 antigen binding groove, as depicted on this slide,
15 actually can determine the nature of the antigen
16 that is accommodated. Various amino-acid sequences
17 coded by the susceptibility alleles indicate that
18 the occurrence of type 1 autoimmune hepatitis in
19 white North America and Northern European patients
20 is strongly associated with a sixth immunoacid
21 sequence, included as LLEQ K R at positions 67

1 through 72 of the DR beta polypeptide chain of the
2 Class II MHC molecule.

3 **AJC#10:** Now, the strongest association with
4 Type 1 autoimmune hepatitis in this population is
5 actually the presence of a positively charged
6 lysine at the DR beta 71 position.

7 **AJC#11:** If we look at the susceptibility
8 alleles that have already been described in North
9 Americans, Northern Europeans, and Asians, these
10 susceptibility alleles all include a sixth amino
11 acid sequence between positions DR beta and 72 that
12 are the same or similar to the ones that I have just
13 mentioned. The only exception is the substitution
14 of a positively charged arginine encoded as an R
15 for a positively charged lysine coded as a K at the
16 DR beta 71 position. These findings suggest that
17 patients with these susceptibility alleles may in
18 fact respond to the same or similar sensitizing
19 antigens.

20 In contrast, DRB1*1301, which I have just
21 mentioned as the predominant susceptibility allele

1 in South American patients, especially children,
2 that susceptibility allele encodes a different six
3 amino acid sequence in this DR beta 67 or 71
4 position, especially different in that it encodes
5 a negatively charged glutamic acid encoded as an
6 E in the DR beta 71 position.

7 Clearly, these different susceptibility
8 alleles for the same disease in different ethnic
9 populations and in different age groups suggests
10 that the analyses of these susceptibility alleles
11 and the engine binding groups that they encode
12 might well provide some valuable clues about the
13 nature of the sensitizing that actually causes this
14 disease.

15 **AJC#12:** It is also important to note that
16 multiple genetic polymorphisms have been described
17 in idiopathic autoimmune hepatitis but their role
18 is clearly unclear. Recently, a polymorphism for
19 the SH2B3 gene has been described in a cohort of
20 patients with Type 1 autoimmune hepatitis from

1 Northern Europe. This analysis was done by
2 genome-wide association studies.

3 The variant of SH2B3 may well affect immune
4 reactivity by altering the activation of T cells
5 affecting cytokine production and modifying the
6 adaptive immune response.

7 Another variant, a variant of the CARD10
8 gene, has also been implicated in Type 1 autoimmune
9 hepatitis in the same genome-wide association
10 studies. And this variant might well affect
11 pro-inflammatory signaling pathways. The
12 important message here is that multiple
13 polymorphisms have already been described in
14 idiopathic autoimmune hepatitis and that many of
15 these polymorphisms are not disease-specific. In
16 fact, many do occur in multiple immune-mediated
17 non-liver-related diseases and, in fact, they
18 probably contribute to modulating the vigor of the
19 inflammatory response but are not clearly
20 essentially for the development of the disease.

1 **AJC#13:** Now the cytochrome oxygenase CYP2D6 is
2 now recognized as the principal target autoantigen
3 of Type 2 autoimmune hepatitis. Antibodies to
4 liver kidney microsome in certain Type 1 inhibit
5 the activity of this enzyme in vitro.
6 Liver-infiltrating cytotoxic CD8 cells are
7 sensitized specifically to CYP2D6 in patients with
8 Type 2 autoimmune hepatitis. And human CYP2D5
9 administered by immunization or by infection with
10 an adenovirus vector actually induces experimental
11 autoimmune hepatitis in mice.

12 **AJC#14:** CYP2D6 has five epitopes, which are
13 recognized by antibodies at LKM1 and the dominant
14 sequence spans the positions 193 and 212 on the
15 recombinant CYP2D6 molecule. This sequence is
16 recognized by antibodies to LKM1 in 93 percent of
17 the British patients with Type 2 autoimmune
18 hepatitis. Importantly, homologies exist between
19 the epitopes associated with CYPD26 and amino acid
20 sequences within hepatitis C virus,
21 cytomegalovirus and herpes simplex virus type 1.

1 Now, these homologies suggest that repeated or
2 protracted infection or exposure with viral
3 antigens that closely resemble self-antigens can
4 overcome self-tolerance.

5 The prominent target autoantigen of Type 1
6 autoimmune hepatitis, which is the most common form
7 worldwide is still unknown.

8 **AJC#15:** Animal studies have indicated that
9 molecular mimicry is an important mechanism for
10 losing self-tolerance in autoimmune hepatitis.
11 This mimicry between human and mouse CYP2D6 can
12 actually loss of humoral and cellular tolerance to
13 mouse CYP2D6 in experimental autoimmune hepatitis
14 and actually induces the disease in these animals.

15 Epitope spread is also an important mechanism
16 for sustaining or exacerbating this disease and
17 animal studies have indicated that reactivity to
18 CYP2D6 early in the course of the disease is
19 directed against closely homologous epitopes to
20 the mouse CYP2D6 but that reactivity later in the
21 course of experimental autoimmune hepatitis begins

1 to be directed at neighboring epitopes and remotely
2 homologous epitopes.

3 **AJC#16:** Now, interesting to this group and to
4 me is the fact that the principal autoantigens that
5 have been implicated in the various clinical
6 syndromes associated with autoimmune hepatitis
7 have all been drug metabolizing enzymes associated
8 with the P450 system.

9 Type 2 autoimmune hepatitis, the autoimmune
10 hepatitis has been associated with autoimmune
11 polyglandular syndrome Type 1. The
12 autoimmune-like hepatitis that has been induced by
13 tienilic acid all have been associated with drug
14 metabolizing enzymes in the P450 system. So that
15 clearly, the P450 system is pivotal to the
16 emergence this form of liver disease.

17 **AJC#17:** The cell mediators of idiopathic
18 autoimmune hepatitis are components of the innate
19 and adaptive immune systems. The cells that are
20 at the center of this very complex interactive

1 network are the regulatory T cells and the natural
2 killer T cells.

3 **AJC#18:** The regulatory T cells have broad
4 immunosuppressive effects that have been really a
5 hot focus of attention in idiopathic autoimmune
6 hepatitis. These cells are natural thymic-
7 derived cells but they can also be induced from
8 naive conventional T lymphocytes by antigen
9 exposure, by stimulation with transforming growth
10 factor beta. The important thing is that the
11 deficiencies in the number and function of these
12 cells have been described in idiopathic autoimmune
13 hepatitis but in fact these results have been
14 recently challenged and that the exact role of the
15 regulatory T cell in idiopathic autoimmune
16 hepatitis is controversial.

17 **AJC#19:** The early studies described that a
18 reduced number of the regulatory T cells in the
19 peripheral circulation of patients with autoimmune
20 hepatitis compared to normal healthy controls,
21 regardless of the degree of inflammatory activity.

1 These early studies also demonstrated that the
2 addition of regulatory T cells to preparations of
3 CD8 cells failed to significantly suppress the
4 activity of the effector CD8 cells.

5 **AJC#20:** So, these studies really generated
6 great interest in the regulatory T cells as a
7 possible mechanism that could be a target
8 population that could be manipulated and improved
9 through various pharmacologic and cellular
10 interventions. But the fact is that recent
11 studies using more restrictive and rigorous
12 definitions for regulatory T cells have actually
13 contested these findings.

14 **AJC#21:** These studies demonstrated that the
15 number of peripheral regulatory T cells in patients
16 with autoimmune hepatitis actually were similar to
17 those of healthy normal individuals. And
18 furthermore, the addition of regulatory T cells
19 from patients with autoimmune hepatitis to
20 preparations of effector T cells reduced the

1 proliferative activity of the effector T cell
2 population similar to normal controls.

3 **AJC#22:** The critical determinant of the
4 activity of autoimmune hepatitis may relate to the
5 relative balance between the activities of the
6 regulatory T cells and the effector T cells, rather
7 than to the absolute number or function of
8 individual cell populations.

9 **AJC#23:** The natural killer T cells are really
10 emerging as the key regulators of immune reactivity
11 in this disease. The natural killer T cells have
12 dual personalities. They can respond very rapidly
13 to sites of tissue injury within the liver and
14 behave like an innate immune response and they can
15 be sensitized to specific antigens and behave as
16 an adaptive immune response. They have surface
17 markers both of natural killer cells and
18 conventional T cells and they have stimulatory and
19 inhibitory actions that are, in fact, dependent on
20 the nature of the sensitizing antigen, who like the
21 lipids, actually sensitize these cells through CD1

1 molecules that are class 1 molecules of the major
2 histocompatibility complex. And the nature of the
3 lipid antigen, whether it be a ceramide or a
4 sulfatide can actually determine the predominant
5 action of the NK T cell population. So, the NK T
6 cells are actually emerging as an exciting area
7 that might lead to therapeutic manipulations by
8 designing antigens that would elicit
9 disease-specific functions.

10 **AJC#24:** The migration of inflammatory and
11 immune cells to sites of tissue injury within the
12 liver is actually orchestrated by a variety of
13 chemokines. But the chemokines CXCL9 and CXCL10
14 have been increased in autoimmune hepatitis and
15 their levels have actually been closely associated
16 with disease activity. The cytokine exotaxin-3 has
17 also been increased in immune-mediated liver
18 diseases compared to viral-related liver diseases.
19 And in fact, this finding suggests that eosinophils
20 are preferentially recruited to sites of tissue
21 liver injury that are immune-mediated. The

1 chemokines are currently being evaluated primarily
2 as indices of disease activity and indices of
3 treatment response.

4 **AJC#25:** Lastly, I would like to mention
5 apoptosis, since apoptosis is the principal
6 mechanism of how to cite loss in autoimmune
7 hepatitis. A receptor mediated extrinsic
8 apoptotic pathway predominates in this disease and
9 it mainly results in the activation of caspase-3
10 and 7, which result in the fragmentation of the
11 nucleus. It is also important to note, however,
12 that an intrinsic apoptotic pathway associated
13 with mitochondrial dysfunction induced by reactive
14 oxygen species also contributes to the apoptosis,
15 mainly through activation of caspase, through the
16 development of an apoptosome and then activation
17 of caspase-9.

18 The apoptosis of hepatocytes has an important
19 consequence, the release of apoptotic bodies,
20 which can serve as allelic antigens, activating the
21 lymphocytes that can actually expand the

1 inflammatory autoreactive and fibrotic responses
2 in its self-amplification loop.

3 **AJC#26:** I would like to close by emphasizing
4 that idiopathic autoimmune hepatitis is an
5 important model by which to begin to understand
6 immune-mediated liver injury. It is also a
7 disease which can be distinguished from most forms
8 of autoimmune diseases that have known causes,
9 mainly by its self-perpetuating nature, its strong
10 genetic predisposition, and its spontaneous
11 occurrence.

12 It is also possible that deficiencies in the
13 modulation of certain immune cell responses may
14 distinguish the disease, as may propensities for
15 life-long fluctuations in disease activity and
16 progression to cirrhosis.

17 **AJC#27:** The key questions that I see as being
18 unanswered as yet are: Does autoimmune hepatitis
19 have a cause or does it emerge spontaneously? Can
20 triggering exogenous antigens actually be
21 discovered and validated? What is latent

1 autoimmune hepatitis and does it exist? And can
2 autoimmune hepatitis be predicted and the risk
3 mitigated or obviated?

4 I think these are questions that offer great
5 challenges that must be addressed by future
6 investigation.

7 **AJC#28:** In conclusion, I hope I have indicated
8 that autoimmune hepatitis actually reflects
9 multiple imbalances in a complex homeostatic
10 network that involves cellular and molecular
11 interventions; that genetic factor strongly
12 influence antigen selection and immune reactivity;
13 that the cytochrome monooxidase CYP2D6 is the
14 target autoantigen of Type 2 autoimmune hepatitis
15 but, in fact, the principal autoantigen of the
16 dominant form of the disease, Type 1 autoimmune
17 hepatitis, is still unknown; that deficiencies in
18 the number and function of regulatory T cells have
19 been described, they have been exciting, but they
20 are now controversial; and in fact, natural killer

1 T cells seem to be emerging as the key regulators
2 of this disease.

3 Certainly autoimmune hepatitis has moved
4 beyond the idiopathic stage but, clearly, its
5 transition to a fully explained disease is far from
6 complete.

7 **AJ29:** Thank you very much. (Applause)

8 Our next speaker is Dr. Einar Bjornsson. Dr.
9 Bjornsson is the Chief of Gastroenterology and
10 Hepatology, as well as Professor of Medicine at the
11 National University of Iceland in Reykjavik, and
12 he is now spending a sabbatical at the National
13 Institute of Health. Dr. Bjornsson will discuss
14 autoimmune DILI, its recognition and management.
15 Dr. Bjornsson.

16

17 **Bjornsson photo, biosketch, abstract**

18 **EB#1:** I would like to start by thanking John
19 Senior and the organizers for inviting me. Thank
20 you very much. I appreciate this very interesting
21 meeting.

1 I just would like to mention, before I go into
2 this drug-induced autoimmune hepatitis, the
3 features that Jack Uetrecht mentioned before of the
4 immunoallergic reactions. When I was working in
5 Sweden, where I spent almost 20 years, we analyzed
6 reports that came to the Swedish Adverse Drug
7 Reactionary Committee from physicians in Sweden.

8 **EB#2:** And cases of disulfiram and others,
9 this is a very well-documented hepatotoxic drug.
10 And we found among these patients that were
11 reported, eight died. This is in accordance with
12 Hy's rule, about 10 percent mortality.

13 **EB#3:** To our surprise, we found two different
14 phenotypes histologically. This phenotype with
15 immunoallergic features with hepatic and
16 peripheral eosinophilia. You can see in the liver
17 lobe that there are numerous eosinophils, which is
18 an inflammatory infiltrate. These patients all
19 had a very favorable outcome. They all survived.

20 **EB#4:** Whereas, with a centrilobular dropout
21 of necrosis, this feature not surprisingly lead to

1 a very bad outcome with death from liver failure
2 or transplantation.

3 And we looked at report from different
4 registers around the world and it turned out to be
5 true that, for example, in the Spanish hepatitis
6 registry, patients who died very, very rarely had
7 any immunoallergic features. It is interesting.

8 **EB#5:** We also looked at all the drugs that are
9 very well documented, and we found the same thing.
10 There was a lot of difference between those who had
11 immunoallergic features and those who did not, in
12 terms of severity of liver disease and prognosis.
13 So, this was truthful for all these drugs.

14 **EB#6:** So, all the time you present something
15 that is new, people become skeptical, for good
16 reason.

17 **EB#7:** So, I was very happy to see that this
18 could be reproduced in another cohort and this was
19 a study from India, where tuberculosis in India is
20 a big health problem and will still haven't come
21 up with all the drugs that do not include isoniazid.

1 And a lot of children in India die from
2 isoniazid-induced liver injury. And he looked at
3 patients, actually children, with drug-induced
4 liver injury and he found that those with
5 hypersensitivity have much better outcome. Those
6 who had hypersensitivity features have no
7 mortality, whereas, this was present in almost 50
8 percent of those without these features. I would
9 just like to mention this because this is an
10 immunoallergic feature.

11 **EB#8:** So, coming back to this autoimmune
12 hepatitis, Dr. Czaja has mentioned, this can be
13 defined as an adverse immune response to proteins
14 within the liver, initiated by a drug. And this
15 is similarly clinically and biochemically and also
16 histological to idiopathic autoimmune hepatitis.

17 As was shown and mentioned before by Dr.
18 Czaja, tienilic acid was a prototype in the '80s
19 or '70s for this type of reaction. This has been
20 removed from the market, I think. And that the
21 reactive metabolites created through hepatic

1 metabolism of some drugs have been shown to bind
2 to cellular proteins such as cytochrome P450. And
3 this can be recognized by the immune system as
4 neoantigens.

5 **EB#9:** There are some drugs that are
6 particularly associated with this type of liver
7 injury: nitrofurantoin, still in wide use;
8 minocycline, alpha-methyl dopa, and hydralazine.
9 More recently, TNF-alpha antagonists and statins
10 have been implicated in this type of liver injury.
11 So, this has been caused by drugs. There are
12 limited data comparing these patients with other
13 patients with autoimmune hepatitis.

14 **EB#10:** So, when I spent time at the Mayo Clinic
15 a few years ago, I looked for these cases in the
16 Mayo Clinic diagnosed medical intakes and we
17 searched for the text in the medical records. Not
18 anywhere in the world, and not even at this fine
19 clinic, can we trust the diagnoses that doctors
20 make. Isn't that right? (Laughter.)

1 So, this is the way to look for diagnosis.
2 Look for it in the text and then screen to see if
3 this terminology is present in the text, we can look
4 for this case and this can be a differential
5 diagnosis. It can be a history or family history
6 and so on. So then we can come up with a number
7 of good cases.

8 And in this part, we excluded overlap
9 syndromes with PBC and PSC and decompensated liver
10 cirrhosis.

11 **EB#11:** So, among 261 patients with
12 well-characterized autoimmune hepatitis, we were
13 able to find 24 drug-induced autoimmune hepatitis,
14 mostly due to nitrofurantoin and minocycline in
15 this series.

16 **EB#12:** Interestingly, a very similar
17 proportion of those with drug-induced autoimmune
18 hepatitis and idiopathic had antinuclear
19 antibodies and smooth muscle antibodies. There
20 was no difference. And interestingly, the
21 histological grade and stage were similar in these

1 two groups, but none of the drug-induced autoimmune
2 hepatitis had cirrhosis at the baseline; whereas,
3 this was present in 20 percent of the matched
4 autoimmune hepatitis cases.

5 **EB#13:** We looked at liver imaging because they
6 found that this was abnormal in the nitrofurantoin
7 patients. This was normal in all the minocycline
8 cases. We saw that liver atrophy and confluent
9 fibrosis centrally was characteristic for the
10 nitrofurantoin-induced autoimmune hepatitis.
11 See atrophy of the liver and here is the confluent
12 fibrosis.

13 **EB#14:** we looked also at the corticosteroid
14 responsiveness. This was very similar but the
15 only difference we could identify was when the
16 immunosuppressive drugs were discontinued. When
17 this was tried, physicians -- there is a difference
18 between the doctors how eager they are to change
19 anything. And if they wanted to discontinue this
20 immunosuppression, when this was tried, this was
21 successful in all these cases and no relapses.

1 Whereas, during this follow-up in the autoimmune
2 hepatitis group, 65 percent had a relapse.

3 **EB#15:** So, we, from this series conclude a
4 significant proportion, between nine and ten
5 percent of patients with autoimmune hepatitis have
6 drug-induced autoimmune hepatitis. And these
7 groups had similar clinical and histological
8 patterns. But at least, according to our data,
9 they do not seem to require long-term
10 immunosuppressive therapy. So, I think that the
11 DILIN network is now working on a further analysis
12 of their cases with drug-induced autoimmune
13 hepatitis. This may involve minocycline,
14 hydralazine, and alpha methyl dopa. And I think
15 an abstractor from this work will be presented at
16 the ESIL meeting.

17 **EB#16:** As Jack mentioned before, TNF-alpha
18 antagonists have been found to be associated with
19 drug-induced liver injury. There are numerous
20 case reports but the largest series, until
21 recently, included 6 patients from the U.S. in the

1 DILI network. And these 6 patients are presented
2 with additional 28 cases from the literature in a
3 paper published in 2013.

4 Little is known about the absolute risk of
5 liver injury with these drugs. And, in Iceland,
6 this is a small country, but we have advantages that
7 we can cover the whole country. We can trace all
8 these patients and look for them where they hide.
9 And they cannot leave the island unless we test
10 them.

11 **EB#17:** So, we found in a recent paper that an
12 absolute risk of DILI associated with infliximab
13 was one out of 148 treated patients. This was over
14 a two-year period in a prospective study. And we
15 because we have the Director of Medicine who
16 doesn't have a medicine registry, all
17 prescriptions, both within hospital and outside
18 hospital are registered, so we could match these
19 patients with the registry. We come up with these
20 figures.

1 **EB#18:** So, we wanted to look both before this
2 two-year prospective study and after for a
3 five-year period to look for if this is true also
4 for the paired outside the study in a
5 population-based study.

6 So, we tried to identify all patients with
7 suspected drug-induced liver injury treated with
8 TNF-alpha antagonists in Iceland and we analyzed
9 the clinical characteristic and features of
10 autoimmunity.

11 **EB#19:** So we could, during this five-year
12 period, come up with 11 patients. And much are
13 females and a total of nine patients have been
14 treated with infliximab. And I just think that
15 this reflects the use of these drugs. Infliximab
16 was the first TNF-alpha antagonist and most widely
17 used still. Only two of these patients have
18 inflammatory bowel disease; whereas, mostly had
19 rheumatological conditions.

20 **EB#20:** And during this period, over 1,076
21 patients had been started on infliximab. We could

1 even find a higher proportion patients develop
2 DILI. One of 120 patients treated with infliximab
3 developed this kind of liver injury.

4 **EB#21:** So, just more than a third had jaundice,
5 and the particular phenotype was hepatocellular
6 with very high ALT and AST and features of
7 autoimmune hepatitis or autoimmunity.

8 **EB#22:** What we wanted to do that nobody had
9 done before was to match these patients with
10 controls on TNF-alpha antagonist not to develop
11 disease, not develop this reaction. And we
12 matched these patients by age and gender, as well
13 as the indication for which the drug was given. I
14 think this is very important because these
15 patients, mostly those with rheumatological
16 conditions, have immune-dysregulation. So, it is
17 important to match or think about the immune
18 features before or at baseline. And we didn't find
19 any difference between these groups except for the
20 presence of methotrexate. This is a widely used
21 drug in rheumatology. And also we looked at the

1 ANA positivity prior to TNF-alpha therapy. There
2 was no difference in those who have been tested.

3 And it has also been taken into consideration
4 that some of these drugs induced ANA, although, in
5 some of these patients, they don't necessarily
6 develop autoimmune hepatitis. But among those who
7 developed liver injury, a significantly less
8 proportion of patients were on methotrexate,
9 whereas in the controls, this was more frequent.
10 So, in this context it seems to protect against this
11 type of liver injury.

12 **EB#23:** We have liver biopsies on approximately
13 half, mostly hepatitis.

14 **EB#24:** And you can see a patient, 40-year-old
15 woman who developed dense inflammatory infiltrate
16 yet, you see apoptotic cell here and these features
17 might look like autoimmune hepatitis. What do you
18 say, Albert?

19 DR. CZAJA: Yes.

20 And these are the figures that she presented with,
21 and for a two-month period her ALT doesn't seem to

1 go down. And there was a problem with the biopsy.
2 She had elevated APTT and we have to look for and
3 explain that. So, we didn't do the biopsy until
4 two months after the presentation. And the biopsy
5 was, as I showed before. And she had positive ANA,
6 immunoglobulin, et cetera. She started steroids and
7 became rapidly improved, clinically and
8 biochemically. She is now off immunosuppression
9 and for a follow-up of two years, she hasn't had
10 a relapse.

11 **EB#26:** This is another type of reaction, which
12 also showed ANA. This patient was symptomatic
13 presented approximately with ALT 800. And as you
14 see here, when you follow the patient, she
15 spontaneously goes down and no immunosuppression
16 was required.

17 **EB#27:** So, half of these patients were treated
18 with steroids and this could be discontinued in all
19 where we tried but in one patient, he is still on
20 treatment. And that is a decision of the
21 responsible physician to do so.

1 **EB#28:** We found infliximab was more often
2 associated with DILI than other TNF-alpha
3 antagonists and autoimmune features are frequently
4 in these patients and required steroids in
5 approximately half of these patients. But despite
6 this, the overall prognosis is favorable. So, the
7 vast majority do not need steroid, long-term. And
8 what was important was that when we tried other TNF
9 alpha antagonists, it was always safe.

10 **EB#29:** So, I am just turning a little bit
11 about, turning my attention to this association
12 between drug-induced liver injury and autoimmune
13 hepatitis. IN a long-term follow-up of patients
14 who have concomitant jaundice leading to
15 hospitalization, autoimmune hepatitis developed
16 in several of these patients during a mean of six
17 years.

18 **EB#30:** And it has also been shown that ANA can
19 be detected after DILI and later on during
20 follow-up.

1 **EB#31:** Interestingly, in the Spanish
2 hepatotoxicity registry, nine out of 700 patients
3 or 1.2 percent had evidence of two drug-induced
4 related episodes caused by different drugs. And
5 an interesting finding was that four out of these
6 nine cases developed drug-induced autoimmune
7 hepatitis in the second episode. This clearly
8 exceeds the chance of association of this liver
9 injury phenotype. So, we don't know why this
10 happens.

11 In most cases drug-induced autoimmune
12 hepatitis have developed injury associated with
13 drug intake and autoimmune features.

14 **EB#32:** And the question is if it is adequate
15 for diagnosis to have the drug intake and an
16 elevation of autoantibodies. Probably not,
17 because some drugs can lead to develop of
18 autoantibodies. Maybe it is important to also
19 take into consideration the history, if this
20 preceded the symptoms of liver injury.

1 **EB#33:** And we often need to do a liver biopsy,
2 particularly those with a persistent liver injury.
3 And when this was done in a subgroup analysis of
4 the use of liver biopsy and distinguishing
5 autoimmune hepatitis and drug-induced liver
6 injury, we found that the severity of inflammation
7 and fibrosis was similar but marked fibrosis was
8 very much -- was only seen in patients with
9 classical autoimmune hepatitis, as I mentioned
10 earlier.

11 **EB#34:** For management, we need to identify the
12 role of drug. I am going to skip slides here a
13 little bit because of the time.

14 **EB#35:** And I think some patients do not require
15 immunosuppression, as with the second patient I
16 showed you. And of those who do not normalize
17 their liver test, we need steroids. But the
18 question is: how long do we require the
19 immunosuppression?

20 There has been success with drugs in most cases that
21 have been reported but I could only come up with

1 three cases where this has not been possible. Of
2 course, you need to follow the patient.

3 **EB#36:** I just want to finish with an email I
4 received recently from Turkey. I am a pediatric
5 surgeon. I have a 17-year-old daughter. She has
6 been diagnosed with Type 2 autoimmune hepatitis.
7 I have doubts about the diagnosis, the treatment
8 protocol, and duration of treatment. That was all
9 she had concerns with. So, I read your article
10 "Drug-induced Autoimmune Hepatitis". We need
11 your suggestion and advice.

12 **EB#37:** My daughter had no complaints;
13 physical examination was normal. She had a
14 problem with acne vulgaris. And on the fifth of
15 August 2014 she was prescribed Rosaccutane,
16 isotretinoin for acne vulgaris. And these were
17 the liver test prior to treatment with
18 Roaccutane AST 36, ALT 43, slightly above the
19 limit. But after a month, ALT goes up to 140 and
20 -- ALT is 91 and two weeks' later it is 141. And

1 she has ANA positivity and also anti-LKM. Other
2 causes are excluded.

3 **EB#38:** And the histopathology showed portal
4 and periportal plasma, accelerates inflammation,
5 fibrosis 1/6. And this was the suggested treatment:
6 prednisone 60 milligrams daily for -- it started
7 with 60 milligrams daily with tapering and also
8 azathioprine at the same time. This was supposed
9 to go on for two years.

10 **EB#39:** And we questioned the diagnosis,
11 diagnosis Type 2 AIH or drug-induced hepatitis?
12 Was the treatment protocol suitable? How long
13 should the treatment be, et cetera, et cetera?

14 **EB#40:** So, I don't think that drug has been
15 associated with drug-induced autoimmune hepatitis
16 but for the first I don't think that a 60 milligram.
17 That is quite a high dose. Maybe 20 or 30. What
18 do you think?

19

20

1 **Discussion Session IIIA**

2 DR. CZAJA: Yes, I think the standard
3 recommendation was, for severe disease, to start
4 on prednisone 60 milligrams daily and decrease it
5 gradually back to 20 milligrams daily for a month.
6 But in mild to moderate disease, as in this
7 particular instance, particularly in a young
8 female, I think a 30 milligram dose is sufficient.

9 DR. BJORNSSON: Yes, 30, that is what I would
10 have done. And the question is whether there was
11 an association with the drug. I think we cannot
12 exclude that. So, to treat this woman for two
13 years, I think I wouldn't have given azathioprine
14 at the start. I would treat her for two or three
15 months and see what happens, if she had a relapse.

16 DR. CZAJA: Exactly. I think that
17 azathioprine really doesn't act very quickly and
18 usually you're not looking at an advantage with the
19 addition of azathioprine for probably six to eight
20 weeks. So, if you intend to institute therapy over
21 a short term, a four to six week interval of

1 treatment is no longer than three months, it is
2 probably reasonable to just treat with prednisone
3 alone and then you will get a clearer understanding
4 of how rapidly this disease is responding. And
5 here, you are really uncertain as to whether this
6 is drug-induced or whether it is autoimmune
7 hepatitis that is spontaneous or latent or
8 preexisting. And in that particular instance, the
9 severity of disease does warrant a treatment
10 intervention. Just discontinuing the drug alone
11 with a disease of this severity is probably -- it
12 is possible to do but it is probably not what most
13 people would do. You are not going to stop the drug
14 and wait six weeks or two or three months to see
15 if things get better. I think you stop the drug
16 and you add something because of the severity of
17 the inflammation. Thirty milligrams of
18 prednisone would be reasonable.

19 Ninety percent of the time, if this is
20 idiopathic autoimmune hepatitis, there will be a
21 significant reduction in that aminotransferase

1 level within four to six weeks, actually within two
2 weeks. You can usually make a pretty good judgment
3 as to whether this individual is responding. If the
4 individual doesn't respond quickly, I think you do
5 have to carry out the therapy to a point when the
6 laboratory tests are normal, before you
7 discontinue.

8 I don't think that you would need a liver
9 biopsy at that time, but that is possible if you
10 wanted to really ascertain complete resolution of
11 all of the manifestations of the disease. But
12 stopping the drug at the time that the disease is
13 in remission by your laboratory and clinical
14 assessment would be appropriate. Then, the key
15 aspect is monitoring the process after that and,
16 if there is relapse, then you are dealing with
17 autoimmune hepatitis, however you want to identify
18 its beginning and not a drug-induced form of
19 immune-mediated disease.

20 DR. BJORNSSON: So, in conclusion, in a
21 patient with a high clinical suspicion of

1 drug-induced liver injury with positive
2 autoantibodies, immunosuppression is indicated if
3 aminotransferases remain elevated, despite
4 discontinuing the drug. And discontinuation of
5 immunosuppression, when attempted is usually
6 successful and is really required long-term.
7 Thank you very much.

8 DR. CZAJA: Thank you. Would the other two
9 speakers come forward to the podium?

10 DR. SENIOR: May I suggest to Dr. Czaja that
11 we extend at least a ten-minute discussion period
12 and move the coffee break back a bit, while people
13 come to the microphone.

14 I have a question for Jack and also for you,
15 Al. Jack talked about adaptation. How does this
16 happen? We talked about yesterday how humans
17 communicate with each other by speech and by
18 writing. How do hepatocytes communicate with each
19 other? They can't talk. They don't write, at
20 least not in the kind of writing we use. But they
21 do send exosomes. They pinch off little bits of

1 their own membrane and there is something inside
2 that goes in and can be taken up by a different cell.
3 What are they saying to each other? What is the
4 message? Are the injured cells saying look out for
5 troglitazone or look out for genetic
6 abnormalities? What are they saying to each
7 other? What are the exosomes telling each other?
8 Dr. Szabo is going to talk this afternoon
9 about exosomes. And you mentioned exosomes. I
10 think they are very important. What are they
11 saying to the other cells?

12 DR. UETRECHT: Well, certainly, they have
13 lots of things in them and different exosomes have
14 different things in them but they have HMGB1, ATP,
15 all sorts of things that can stimulate
16 antigen-presenting cells. But, it's complicated.

17 DR. CZAJA: I think that just one analogy
18 that I can compare is that there are certain enzymes
19 which are important in the generating of the active
20 metabolites, the drugs that we use to treat
21 autoimmune hepatitis that actually do seem to

1 induce increased activity of those enzymes through
2 continued use of the drug and that, in fact,
3 actually improves their metabolism and they
4 improve their efficacy, as well as reduce their
5 toxicity. So, a substrate challenge may actually
6 improve enzyme activity and contribute to that
7 response. I don't know really what your answer is
8 but that is one observation that we have had,
9 especially in patients who have thiopurine
10 methyltransferase deficiency and who we are giving
11 azathioprine.

12 DR. PRATI: Some of the drugs that you have
13 indicated as linked to autoimmune hepatitis, for
14 example, alpha methyl dopa, are also linked to
15 autoimmune hemolytic anemia. Did you look at any
16 combo mechanisms for these conditions?

17 DR. BJORNSSON: No, I cannot recall but I
18 don't think that any of these patients have also
19 the phenotype of autoimmune hemolytic anemia. I
20 am not aware of that.

1 DR. PRATI: Did you look at any Coombs
2 thyroid -- Coombs test?

3 DR. BJORNSSON: No, this was a retrospective
4 study.

5 DR. PRATI: Thank you.

6 DR. REGEV: I have a question. I guess both
7 questions are for you, Einar. I'm trying to use
8 a case example just to clarify how you view the
9 differentiation between autoimmune drug-induced
10 and non-drug-induced. You used a fibrosis stage
11 of four as a cutoff between a diagnosis of
12 autoimmune that is idiopathic and autoimmune that
13 is drug-induced. And my question is if the case of
14 infliximab, for example, has a three-month history
15 of treatment on infliximab and then presents with
16 autoimmune-like presentation and a liver biopsy
17 shows a stage 3 fibrosis, how would that be used
18 as an indicator to differentiate between the two?

19 DR. BJORNSSON: I'm not convinced that you
20 can use a cutoff of three and four. I mean, we have
21 -- it is more complicated than that. We have some

1 reliability. And also in idiopathic autoimmune
2 hepatitis, it has been described that some people
3 have cirrhosis that can disappear with treatment
4 in a new biopsy, if it is something but I don't know.
5 And I think it is difficult to -- but the only thing
6 is that if you have significant fibrosis in a
7 biopsy, it makes it more likely that this has been
8 a long-standing process. Undiagnosed people are
9 often asymptomatic for a long time.

10 I would still try, because if they tried to
11 discontinue treatment because I don't think it is
12 danger to stop the immunosuppressant if you monitor
13 the patient closely. Because you know what to
14 expect. The severe thing is that people go
15 undiagnosed. Nobody knows about it. Ninety
16 percent would see severe jaundice and they can come
17 with acute liver failure. But if you follow them
18 very closely with biochemical test prior to
19 symptoms, it is easy to treat them, I think, and
20 get them into remission again.

1 DR. REGEV: Thank you for that. I am just
2 summarizing. It is more a case-by-case thing,
3 rather than a cutoff of four or three.

4 DR. BJORNSSON: Yes.

5 DR. REGEV: And my second question is
6 related. You mentioned the Indian study that
7 actually associated hypersensitivity syndrome is
8 actually a good prognostic sign. There is quite
9 a lot of data that suggests the opposite. And I
10 am curious to hear from other people as well, where
11 is this -- and including the recent DILIN article.
12 They actually nine patients with severe skin
13 manifestations and eosinophilia as part of the
14 presentation and they have four out of the nine.
15 So, they saw that as a bad prognostic sign. So,
16 where is this? Is it a population thing? Is it
17 a data collection thing? Why the differences?

18 DR. BJORNSSON: I think that skin reactions
19 are something else. That was not included in the
20 reaction. And this is a complicated thing with the
21 different pathways. The eosinophils can be

1 destructive and it has also been shown to be
2 protective. And it was shown that in patients with
3 ulcerative colitis when they were biopsied during
4 the active phases, versus when they were in
5 remission that the eosinophils were more prominent
6 in the inactive phase. And this has been shown in
7 several studies, suggesting that in some pathways,
8 the eosinophils have a protective role in healing
9 the mucosal injuries. So, I think if you have
10 hypereosinophilic syndrome, you can have a
11 destructive pathway. So, it is very complicated.
12 Maybe Jack can answer this but there are different
13 pathways. Do you want to come up?

14 DR. UETRECHT: Only to repeat it is
15 complicated. (Laughter.) So in the same cell,
16 there are neutrophils that are tolerogenic. So,
17 I think we are developing pools now that we have
18 never had before to very carefully phenotype cells.
19 I think the way that we have done it in the past
20 has been inadequate to determine the function of
21 these cells.

1 PARTICIPANT: Yes, speaking of skin
2 reactions, recently there have been a lot of
3 reports about the occurrence of psoriasis in
4 patients with anti-TNF alpha drugs, especially
5 with infliximab. So, I am wondering at the Mayo
6 Clinic or elsewhere whether you can get some of
7 these patients to see if the immune environment in
8 those patients would give you any clues about the
9 occurrence of anti-TNF-induced liver disease as
10 well.

11 DR. CZAJA: Certainly, I need to look at it.

12 PARTICIPANT: I haven't seen it.

13 DR. CZAJA: I think I can't really answer
14 that question for you.

15 DR. UPENDER: So, that goes to the
16 dermatologist.

17 PARTICIPANT: Yes, well, I think there may be
18 some rationale to look at some of their immune cells
19 and their immune regulations. The imbalances that
20 you are thinking about are present in those
21 patients as well.

1 DR. CZAJA: We have time for two more
2 questions.

3 DR. AVIGAN: So, I had a question about the
4 tolerance mechanism for Jack. So, you were making
5 an argument that one of the steps in the cascade
6 of pathogenesis is the loss of a certain tolerance
7 mechanism to a regulatory cell network. So, that
8 raises the question of are there opportunities to
9 provide therapeutic intervention for resetting,
10 essentially, the network, when you see a relation.
11 And as an analogy, desensitization, which, of
12 course, is something a little bit different.

13 But why it is confusing is that you have many
14 patients on these drugs, some of these drugs, which
15 would develop autoantibodies but don't develop
16 clinical syndromes. So, dysregulation is not
17 binary. It is more of a kind of a continuum. The
18 question is if can you reset the level of tolerance.
19 Is there, from the way you are thinking about this,
20 to at least eliminate in this cascade of

1 perturbations the clinical injury step, the injury
2 step? Where is the tolerance broken down?

3 DR. UETRECHT: I think the immune system is
4 everywhere. So, a lot of what we look at is in the
5 liver but we also look at lymph nodes and spleen.
6 So, you know when antigen-presenting cells are
7 activated, they go to drain lymph nodes to get
8 maximal interaction with T cells, et cetera. So,
9 it isn't -- in terms of location, it isn't one
10 place. I think always there is a balance. So, as
11 I said, I don't think that most patients that
12 develop idiosyncratic DILI have a severe impaired
13 immune tolerance. But it is this balance by
14 depleting or decreasing immune tolerance. We are
15 tipping that balance but there must have been
16 something previous that led to this very strong
17 immune response that tolerance was not sufficient
18 to overcome it. I'm not sure I am answering your
19 question.

20 DR. AVIGAN: Well, obviously, it is
21 complicated. So, there are lot of cells in the

1 network and there is a balance. Did anybody have
2 an idea about how to intervene when you had
3 breakdown?

4 DR. UETRECHT: Well, I think what we need to
5 do, and I am a little disappointed it hasn't been
6 done, is doing more controlled studies.
7 Obviously, if you have a patient who has
8 idiosyncratic DILI, and you stop the drug and give
9 them steroids and they get better, you don't know
10 whether it is because of the steroids or just
11 because you stopped the drug. And until we do
12 controlled studies, not just with steroids but
13 sometimes if we understand the mechanism better and
14 it is going to be different in different people,
15 if we target cytotoxic T cells or whatever, we will
16 have a much better chance of selectively saving
17 that patient, rather than just using the same
18 therapy for everyone. We need controlled studies.
19 I know they will be difficult to do and whether they
20 should be done in clinical trials or the DILIN
21 network or how we do it, I am not sure but we

1 desperately need controlled studies to see what is
2 effective at treating these patients.

3 DR. CZAJA: I think the principal objective
4 of developing therapies for idiopathic autoimmune
5 hepatitis is to do just exactly what was mentioned,
6 which is to identify the critical cell population
7 as unbalanced and really immune tolerance to be
8 overcome and to restore that imbalance. And that
9 is why the key populations of regulatory T cells
10 have been really at the forefront of these
11 investigative efforts. And there is a very
12 interesting Japanese animal model in which they
13 take PD-negative mice and do neonatal thymic
14 in those mice, creating really an absence of
15 thymic-derived regulatory T cells. And then
16 demonstrating a consistently developed form of
17 autoimmune hepatitis, which can be prevented or
18 ameliorated after the adopted transfer of
19 regulatory T cells to this population. These
20 animal studies, using infusions of the adaptive T
21 cells are also being addressed in other animal

1 models and in patient populations. So, there is
2 really speculation that there is going to be an
3 effort to make these adjustments primarily through
4 pharmacological means of bolstering regulatory T
5 cell function, if, indeed, that is a problem, or
6 to begin to supplement with immune cells that have
7 been regulators indigenously present.

8 DR. BJORNSSON: What happened with the
9 coffee break?

10 DR. CZAJA: I think we will have one more
11 presentation, then we can have a coffee break.

12 PARTICIPANT: Mohammad for the NIH. I have
13 one question and Jack brought a lot of papers or
14 reviews about dangerous signal. And of course
15 injecting antibody against PD1 CDR4, I don't see
16 what kind of danger this can add to the model to
17 make there might be a lot of hepatitis in liver
18 injury. And the same thing to do to the 16 mice when
19 you develop hepatitis, how this antigen in animal
20 can produce liver injury. There is no danger still

1 from the immune system to make more allele to the
2 blunt injury.

3 The second question is related to
4 alcohol-induced liver injury. There is also
5 antibodies against people 50 to 81. I didn't see
6 a lot of discussion about this. The question is:
7 does an aged population drink a lot of alcohol,
8 probably in the north of Europe or US, probably have
9 more liver injury because they have more some kind
10 of damage because of alcohol. Is any study done
11 seriously to compare it with more risk for DILI for
12 alcohol drinker than non-drinker?

13 DR. UETRECHT: I don't think there is a
14 significant increase in risk. And again, we tried
15 to do studies with things like thioacetamide
16 co-treatment to try to increase the amount of
17 danger signal. But I think, and this is pure
18 speculation, but going back to the exosomes, I
19 think somehow the immune system can be very
20 specific, so that in these -- again, pure
21 speculation, but you can combine, in these

1 exosomes, drug-modified peptides and HMGB1 and
2 other danger signals so that you specifically
3 sensitize the immune system to that particular drug
4 and it ignores other things that induce danger
5 signals.

6 So, other inflammatory conditions in general
7 and co-treatment with other cytotoxic drugs, liver
8 cytotoxic drugs just doesn't do it. The immune
9 system is smart enough, specific enough, that it
10 responds to what it should. And almost always, it
11 gets it right and responds with immune tolerance.
12 I will bet you if we could look more carefully in
13 the liver of humans that get isoniazid we only saw
14 an immune response in those that had an ALT, when
15 we looked at the peripheral blood. I will bet you
16 there is an immune response in the liver of
17 everyone.

18 DR. BJORNSSON: Can I answer this with
19 alcohol? Actually the DILI method, alcohol was a
20 protective factor against severity of liver
21 injury, which is surprising. Is that correct,

1 Paul, in the first 300 patients, alcohol was a
2 protective factor?

3 DR. CZAJA: Well, with that, I think we
4 should break for coffee. And I would like to thank
5 the speakers for their presentations and the
6 audience. Thank you. 9:44 am

7 Coffee break

8 **Session III B** 10:09 am

9 DR. AVIGAN: I am going to ask the audience
10 to sit down. We are going to start the second
11 portion of this morning's session on immunity, an.
12 We're going to make a transition from some of the
13 background pathology issues that we heard about
14 this morning. They really set the stage for some
15 of the regulatory and drug development questions
16 that are right now very pressing.

17 And one of the reasons why I thought about in
18 planning this session, initially this summer, was
19 that we have new classes of drugs that are coming
20 online that have as part of their profile,

1 autoimmunity as a side effect because in some sense
2 that is how they work.

3

4 **Avigan photo, biosketch, abstract**

5 **MA#1:** So I am going to get the session
6 started. I am Mark Avigan. I work at the FDA with
7 John on critical path issues and I have a background
8 in both hepatology and molecular biology. So, I
9 am kind of an eclectic guy, but I am delighted that
10 everyone is here and that we have an opportunity
11 to talk about these very important issues.

12 **MA#2:** My talk today is about drug-induced
13 immune injuries, why these are important. And of
14 course, we are talking here about different kinds
15 of injuries, different mechanisms, both with
16 regards to liver as well as other organs.

17 **MA#3:** And what prompts our attention to this
18 as hepatologists and liver injury people with
19 regards to drugs is that there are now new drugs
20 coming online? We will see more of these in the
21 oncology space.

1 **MA@4:** I am going to talk about the challenges
2 in definitions and regulatory implications of
3 drug-induced immune injury and then turn my
4 attention to talk about autoimmunity and
5 autoimmune hepatitis, in particular, with regards
6 to accounting for the diverse phenotypes and
7 mechanisms, both with regards to particular drugs
8 and individual patients who are susceptible. And
9 I am going to then introduce the topic of the cancer
10 drugs and we will hear more about this from David
11 Berman and then talk a little bit about the
12 challenges with regard to causality analysis where
13 the RUCAM, as a tool, needs some work. And we are
14 working with our colleagues on the NIH on this
15 question.

16 **MA#5:** So, with regard to immune injury to the
17 liver or other organs for that matter, there are,
18 again, different molecular targets that come into
19 play that incite these reactions that are either
20 drug-associated or altered self-antigens, as we
21 heard. From the point of view of classification in

1 simple terms, although there are commonalities
2 between these broad pathways, there are two broad
3 groupings of immune reactions or immune damage,
4 immunological damage prompted by drugs.

5 One group of reaction pathways is
6 immunoallergic pathways. And characteristically
7 these have an onset within a few weeks of treatment.
8 They can be very short. Multiple organs can be
9 affected. And again, we think of these as the
10 classic hypersensitivity reaction. So, we are
11 talking about different mechanisms within this
12 group of reactions. Fever and rash are not
13 uncommon. We have heard about eosinophilia today
14 as an example and re-challenge has significant
15 risk.

16 On the other side of the coin are the
17 autoimmune reactions. And again, they have some
18 similarities in terms of what incites them. But
19 autoimmune reactions are different in that
20 typically their onset occurs after a more prolonged
21 treatment. The type of injury that you see is more

1 subacute or chronic. Again, there are
2 characteristic ranges of affected organs and these
3 can depend on the specific drug and the specific
4 drug signatures. We will come back to this point.
5 And then for some, there are characteristic
6 autoantibody profiles for certain drugs but this
7 is not always the case. And there are some notable
8 exceptions. From a public health perspective,
9 there has been, of course, longstanding concern
10 with regards to hypersensitivity reactions from
11 drugs and these can be serious. These can be
12 life-threatening. They can kill. We just had a
13 conference a couple of weeks ago -- last week, on
14 Stevens-Johnson syndrome, where patients end up --
15 these are very reactions, end up in burn units and
16 have terrible reactions. But these are often
17 discovered or determined, identified in the
18 post-market phase because they are quite rare.
19 So, there has to be large treatment exposure before
20 you start seeing these reactions.

1 **MA#6:** And clearly, in this snapshot of safety
2 alerts between 1996 and 2014 from the FDA, you can
3 see that significant regulatory actions have been
4 taken with regards to drugs and withdrawals and so
5 on. Some of these regulatory actions have taken
6 place after replacement of the problem drugs with
7 drugs that have safer profiles.

8 **MA#7:** And likewise, there is a sizeable
9 number of drugs that are labeled by FDA and then
10 of course by the sponsors for autoimmune reactions.
11 And this is just a very partial list, just to give
12 you a sense of it. And different kinds of
13 autoimmune reactions are relevant here.

14 There are lupus-like syndromes, drug-induced
15 lupus erythematosus. I will refer to it as DILE.
16 There is autoimmune hepatitis. And again, there
17 can be disability associated with these kinds of
18 reactions and, in some case, they can be, of course,
19 life-threatening as well.

20 **MA#8:** So, optimizing our risk assessment and
21 case management for this kind of problem is very

1 important. And again, to have an optimal approach
2 in the face of this diversity for risk assessment
3 and also to be able to learn more about them in
4 research, we really need to have -- we need a number
5 of things to set of place. We need to have a
6 universal categorical criteria of reaction types.
7 We have to have a nosology. We have to have a
8 classification scheme that makes sense not just for
9 the experts in pathology, in the pathogenesis but
10 also for clinicians to identify patients,
11 recognize them and so on. We need protective
12 procedures to monitor patients and manage immune
13 reactions when we see them. We need to have
14 effective post-market surveillance strategies to
15 tell and evaluate events when they occur,
16 especially since many of these events are rare, so
17 they will occur and be seen in the post-market.
18 And we need adverse event descriptions and
19 instructions to manage risk in labels and other
20 tools with communication that are really optimal.

1 Now, in the face of these needs, we have to
2 reconcile these real important challenges. And we
3 go through some of these challenges. But one of
4 the challenges, of course, is that some drugs
5 actually can cause more than one kind of reaction.
6 And we heard today about minocycline as an example
7 of a drug that, in some individuals caused an
8 immunoallergic reaction but in other people, they
9 get a more classic autoimmune picture. So,
10 different individuals can have from the same drug,
11 different reactions. So, that has to be somehow
12 -- that is one of the challenges that has to be
13 incorporated in how we communicate risk.

14 **MA#9:** There are also variable temporal
15 features of severity and affected organs for the
16 same type of injury type. So, that is another
17 layer of diversity and complexity that has to be
18 communicated. So, for example, minocycline
19 autoimmunity can include drug-induced lupus
20 erythematosus. It could cause autoimmune
21 hepatitis. It can affect other organs such as

1 thyroid, where you can get thyroiditis, other
2 endocrinopathies and so on.

3 Another example is lamotrigine which can
4 cause hypersensitivity of different organs, skin,
5 liver, meninges, in different people, presumably
6 with common mechanisms of injury.

7 So, another challenge in this group of
8 challenges is that there are inter-individual
9 differences which are hard to predict. So, there
10 are co-determinants of risk that are
11 idiosyncratic. They have to do with the HLA
12 polymorphisms. They have to do with pre-existing
13 antigen exposures that might have been primordial
14 but have sort of set into motion a recognition of
15 an antigen as foreign or an altered self-antigen
16 and then the danger signals. That is, the
17 concomitant, which we will come to in a moment.

18 **MA#10:** So, now I am going to focus more of my
19 attention to the autoimmune side of that ledger
20 that I showed you before and, of course, there are
21 classic -- there are manifold manifestations of

1 autoimmunity from drugs and there are some classic
2 presentations which overlap, to some extent, but
3 not completely with what we have referred to as
4 idiopathic autoimmunity.

5 So, in the case of drug-induced lupus, these
6 signs and symptoms that I have listed here
7 arthralgia, serositis, and so on are subacute and
8 chronic cutaneous SLE, these are classic for drug
9 reactions but, notably, many patients with
10 drug-induced autoimmunity do not have some other
11 features that are seen with idiopathic lupus, such
12 as renal involvement for many drugs, CNS
13 involvement and very serious, life-threatening
14 skin reactions.

15 So, another feature of the drug reaction is
16 that it is slow to onset after initiation of the
17 drug. It is slow to resolve often, unless you
18 intervene with steroids so that the clinical
19 syndrome is a little bit different than what we see
20 in the idiopathic form. And also, sensitization
21 is not easily seen. Sensitization was seen with

1 immunoallergic reactions but not with these
2 reactions.

3 **MA#11:** So, whether the liver is the target
4 organ or you have other organs that are affected,
5 there are certain common pathways across these
6 different kinds of autoimmune injuries that come
7 into play. So, there is a triggering mechanism
8 that we heard about this morning, very nice
9 presentations that initiate the reaction either
10 through haptens of drug metabolites or through an
11 alter self-antigen and there is a fair amount of
12 data that we have heard about in previous meetings
13 about the secondary stress signals that are the
14 so-called danger hypothesis where concomitantly
15 there is an infection or a heightened inflammation
16 which, somehow, changes the regulatory network and
17 makes susceptibility to initiation more complete.

18 And then the reactions through drug effects
19 can be driven or sustained through drivers, through
20 driver mechanisms, which I have listed here. And
21 these include drug effects on a variety of steps

1 in immune homeostasis. And notably, one of the
2 ones that we heard about today, which is a very
3 important one to learn more about is the issue of
4 tolerance. Certain drugs actually can change or
5 perturbate tolerance and I will come back to that
6 point later. But also but these pathologic steps
7 actually afford an opportunity for interventions
8 and prevention in certain individuals who are
9 susceptible and need certain drugs. So, this is
10 something that we had asked about before and
11 requires more research.

12 **MA#12:** So, there are now over almost 100 drugs
13 that are associated with lupus. The most
14 well-known ones are, of course, are procainamide
15 and hydralazine, where the rates of these reactions
16 is extraordinary, particularly in patients who are
17 slow acetylators or have certain HLA isotypes, the
18 classic DR4.

19 But other drugs as well are associated with
20 lupus more rarely but they need to be labeled and
21 they need to be communicated and recognized by

1 clinicians when they occur. And interestingly, as
2 we heard about before, there is a gender
3 predisposition in females more than males but it
4 is less pronounced in the idiopathic variety. We
5 see these drug reactions more in older people but
6 maybe that is because they are on polypharmacy.

7 **MA#13:** And here is a very partial list of drugs
8 that have been linked to autoimmune hepatitis.
9 And again, what is interesting is some of these
10 drugs actually are more specifically reported as
11 predisposing to autoimmune hepatitis rather than
12 DILE. And some of these drugs have been removed
13 from the market because of this effect or have not
14 been introduced into the market.

15 Currently, we heard that the drugs that are
16 being marketed currently that have this issue that
17 is recognize, minocycline and nitrofurantoin but
18 this is a moving target because now we are having
19 these new oncology drugs coming online. We have
20 more biologic agents, like the TNF-alphas that we
21 heard about, where this kind of problem has been

1 recognized. So, the complexion of the drugs that
2 are causing this problem over time will change.

3 **MA#14:** And there has, of course, been a
4 long-standing interest in genetic susceptibility
5 markers, not surprisingly. Of course, if they
6 have utility, if they have good predictive value,
7 not surprisingly, some of these as we heard very
8 elegantly from Dr. Czaja, that some of these are
9 overlapping or at least the HLA loci are
10 overlapping with those that are implicated in the
11 idiopathic forms of autoimmunity and autoimmune
12 hepatitis. But there are specific isotypes for
13 certain drugs, where there is an HLA connection
14 having to do with antigen presentation. For
15 example, minocycline and nitrocin polymorphism at
16 the 30 amino acid position of the first open reading
17 frame. A slow acetylator status rings the bell with
18 regards to hydralazine. It has a similar pathway,
19 actually, as INH. Again, so how some of these
20 things have to do with the metabolism of the drugs,
21 we don't completely understand.

1 And then of course, if we want to develop
2 genetic susceptibility markers as clinical tools,
3 as risk management tools, they have to have good
4 predictive value for us.

5 **MA#15:** And so far, with maybe a few exceptions,
6 individual loci, as markers or enrichment for risk
7 have very small effect sizes. So, that their
8 contribution of overall risk is relatively small.
9 So, this is a kind of stumbling block.

10 But what we don't know actually, is how to
11 compute the combinatorial effects of multiple
12 interactive genetic loci, as well as other effects
13 as well. So, this is difficult to study but it is
14 an open question. And the modeling of risk effects
15 of multiple loci and genetic loci, as well as
16 non-genetic factors, and the challenges, the
17 experimental challenges of how to do this is nicely
18 captured by this diagram that was published a
19 number of years ago by Teri Manolio, who is at the
20 NIH who we had this conference with the other week.

1 **MA#16:** And basically, there are two important
2 factors or two important variables that determine
3 risk for a genetic locus. One is the effect size
4 of the locus on risk and the other is its frequency
5 in the population. And so you can from that make
6 a diagram. And on the right side of the diagram
7 are the kind of common variants that we often will
8 determine by GWAs. They are frequently expressed
9 in the population and they often will have a small
10 effect size. So, on the one hand, they are easier
11 to discovery in a case-controlled study design but
12 they also are disappointingly, they have small
13 effect sizes to be used as these single markers of
14 risk.

15 **MA#17:** On the left side of the diagram are the
16 rare alleles that are inherited in more of a
17 Mendelian way and they may have high risk but they
18 are hard to discover because you have to know where
19 in the genome to look. You can't just have a
20 pangenomic system method to discovery because
21 there is a lot of false discovery in that method.

1 This kind of a diagram highlights the
2 experimental challenges of determining the
3 biosystem genomic regulators. What are the
4 tradeoffs from an FDA perspective, from a
5 regulatory perspective of when we consider the
6 utility of markers and when they might enter into
7 a label or into an instruction to clinicians.

8 There are different factors at play. And on
9 the right side are the factors that favor a marker
10 as a clinical tool, when the allele is common, when
11 the test has a high positive predictive value, when
12 the result strongly implicates treatment benefits
13 versus risks, when there are few and expensive
14 alternate treatments and when this adverse event
15 is severe and will kill you if you get it. Those
16 are the kinds of things that you would say hey,
17 let's test for this.

18 **MA#18:** Now, it ends up that we do have some
19 labels where we actually recommend genomic marker
20 testing, but there are some nuances to this. Even
21 with demographic groups, there can be variability

1 in the frequency of an allele, which then impacts
2 the value of testing. An example is HLA-B*5701 for
3 the abacavir hypersensitivity reaction, where the
4 marker actually is very frequently expressed in
5 Caucasians, in 5 to 8 percent of the population.
6 So, you just have to test 20 people to prevent one
7 bad reaction. That is a no-brainer. But if you
8 go to East Asia, you know, Korea and places like
9 that particular allele is very rare. So, you have
10 to test over a thousand people to prevent one
11 reaction. So, there is some variability in the
12 utility of the marker, based upon allele frequency.

13 **MA#18:** Autoantibodies, are the sine qua non
14 biomarkers of autoimmunity and drug-induced
15 autoimmunity as well, they are not necessarily the
16 mechanisms by which tissue injury occurs but they
17 are manifestations of the dysregulation. Why
18 there are different cellular components and
19 isotypes among different autoimmune drug reactions
20 is really not fully understood. We have heard a

1 little bit, we got some inkling of this this morning
2 but it is still not completely understood.

3 Another frustration is that high versus low
4 titers of drug-induced autoantibodies do not
5 predict clinical significance of severity or
6 injury. So again, the titer or the concentration
7 of the antibodies don't really correlate with
8 injuries. So, these are biomarkers but they are
9 challenged in terms of what they really mean.

10 **MA#19:** The key point is made in this slide,
11 which is that different drugs have different risk
12 profiles for different kinds of autoimmune
13 reactions, based upon what has been reported in the
14 literature. So, for example, procainamide is very
15 tied to DILI, as is hydralazine, less so to
16 autoimmune hepatitis. But some drugs are
17 connected to both and this makes it more
18 complicated. Some drugs are connected to one
19 target form of injury or one syndrome, even though
20 they are connected in terms of their presumed
21 pathologic pathways.

1 Another interesting point is that different
2 drugs actually have different characteristic
3 autoantibody profiles but these are not entirely
4 specific, so that commonly the ANA, which is an
5 immunofluorescent test and this has a homogeneous
6 pattern, often what it reflects are autoantibodies
7 to histones. And they are seen in many drug
8 reactions with DILI, not necessarily all.

9 Some drugs give other characteristics of
10 autoantibody, such as double-stranded DNA
11 antibodies with minocycline or perinuclear
12 antineutrophil cytoplasmic antibodies, which
13 actually reflect antibodies to myeloperoxidase.
14 Infliximab also gives a kind of particular set of
15 autoantibodies, including DNA antibodies,
16 cardiolipin antibodies.

17 **MA#20:** And when we look at drugs that induce
18 autoimmune hepatitis, again, some of them are very
19 heavily weighted towards autoimmune hepatitis and
20 not to DILI and they have characteristic profiles
21 of autoantibodies, which we heard about today, that

1 fit into either the so-called Type 1 autoimmune
2 hepatitis category or the Type 2 autoimmune
3 hepatitis category. The first four drugs on this
4 list, actually, they were so tainted with risk for
5 autoimmune hepatitis that they have either been
6 removed from the market or they were never
7 introduced to the market. We heard about tienilic
8 acid before, and they make these characteristic
9 antibodies, which you can determine in vitro or in
10 cell staining from liver or from kidney in the
11 microsomal fraction. They turn out to bind to
12 CYP2C9, one of the cytochromes and the
13 dihydralazine CYP1A2, which there was a nice
14 review, brief review that Paul Watkins actually
15 wrote a number of years about the CYP1A2 antibody.

16 And then ipilimumab which we will hear about
17 later is a drug which revs up T cells but doesn't
18 really create any antibodies that are
19 characteristic, at least so far, that we haven't
20 discovered.

1 So, why do these particular drugs pick these
2 particular cytochromes? We heard a little bit
3 this morning about this idea of epitope expansion.
4 Some of these drugs have a stop step where they meet
5 the cytochrome in their metabolic clearance and so
6 there is a physical proximity in the metabolism of
7 these drugs with these cytochromes. And whether
8 that has an effect on how the immune system
9 ultimately decides to actually make antibodies
10 against the enzyme, rather than drug is an open
11 question but it may have something to do with this
12 idea of expansion of the epitopes.

13 **MA#21:** And other findings with regard to
14 autoantibodies is that they are often detected in
15 individuals without liver injury. procainamide
16 patients have an extraordinary rate of developing
17 ANA, antineutrophil antibodies, even though many
18 of them don't have a clinical syndrome. Likewise,
19 infliximab, in a study of RA patients, 15 percent
20 of all RA patients treated with infliximab actually
21 have been found to develop double-stranded DNA

1 antibodies. And 55 percent with IBD develop ANA.
2 So, of course, most of those patients do not have
3 a clinical syndrome.

4 And we talked about, on the other hand, the
5 point that autoantibodies can pick out, they have
6 characteristic signatures for certain drugs which
7 I have listed here. So, when we see a clinical
8 syndrome and we see these autoantibodies, it is the
9 circumstantial evidence that the drug is somehow
10 tied to the reaction, but it is not foolproof. With
11 regards to checkpoint inhibitors, as we will hear,
12 there are autoreactive T cells that come into play.
13 And perhaps in the future we will have good assays,
14 not to measure autoantibodies, but to measure T
15 cell reactivity in the presence of certain clones
16 of T cells that are responding to particular drugs.
17 And that might be the clinical assays of the future.

18 **MA#22:** Now, because autoantibodies are
19 limited, we want to look for other potential
20 dysregulated mechanisms as potential biomarkers.
21 And there is a lot of literature about mechanisms

1 that come into play. One is the inhibition of DNA
2 methyltransferase by certain drugs, procainamide
3 and hydralazine, for example, which then basically
4 unleashes gene expression through hypomethylation
5 of a certain gene regulatory regions and then the
6 expression in those T cells of certain molecules
7 that enhance activity of the T cells. TH-2 cells
8 are the ones that drive B cell autoreactivity.
9 We heard a little bit today about this idea of
10 reduced apoptosis, a defect that has been proposed
11 with regard to clearance of cellular debris and
12 perturbation there. And we heard a little bit from
13 Jack about this idea of disruption of tolerance.

14 One of the mechanisms with regard to
15 procainamide hydroxylamine which was nicely
16 reviewed a number of years ago by Jack in one of
17 his reviews, shows that there is a perturbation in
18 a mouse model for positive thymic selection so that
19 the T cells that are selected to be kept and
20 recirculated are defective in some way and they

1 don't tolerate. They somehow activate. They
2 don't have an energetic reaction.

3 **MA#23:** So, I am going to close by just making
4 a few points about these checkpoint inhibitors as
5 a prelude to David Berman's talk. And I just point
6 out that we are beginning to see more of these kinds
7 of drugs at FDA, and we will see more of this in
8 the future. I listed some of the molecular targets
9 for these inhibitors. Because of the nature of how
10 they work, they are linked to a high-risk for
11 autoimmune organ injuries because they basically
12 soup up autoreactive T cells and perhaps NK cells.
13 That is how they work but they can also cause
14 autoimmune injuries and we see a lot of them.

15 It is in the label but it is also in the
16 post-market experience. The most common is
17 colitis, but also hepatitis, liver failure,
18 endocrine effects. And so there is a real risk
19 level for life-threatening AEs, which you can
20 actually see in clinical trials. You don't have
21 to get a million patients exposed before you start

1 seeing them. Within a few thousand patients, you
2 see a whole bunch of these reactions.

3 So, what we need to do a better job going
4 forward is how to pick out patients to predict who
5 are going to be the bad actors. Who are going to
6 be more susceptible to autoimmune unintended
7 reactions, rather than the reactions against the
8 cancer cells.

9 **MA#24:** So, just to give a snapshot from my
10 colleagues who were working this up from our
11 spontaneous report database at FDA, and this is not
12 expected because these reactions were actually
13 seen in clinical trials as well, is that there is
14 a certain percentage of patients, a certain number
15 of patients in the spontaneous report who have been
16 reported with colitis. The most common known
17 adverse event in this category of adverse events,
18 some with intestinal perforation and also cases of
19 autoimmune hepatitis and hepatic failure.

20 Now, when we look at the cases with more
21 focus, it turns out that many of the patients who

1 were bad actors actually already have underlying
2 liver disease with, in this case, melanoma
3 metastases to the liver. But there is a very
4 striking temporality between the onset of serious
5 liver function changes and the treatment step
6 itself. So, there is a complexity of underlying
7 cancer in the liver and then addition of a drug that
8 actually, for these individuals, tips the balance
9 not in their favor.

10 **MA#25:** And I just wanted to highlight an
11 example of a case of interest that shows these
12 complexities in the post-market database of a
13 60-year-old male. He has melanoma metastases with
14 small lesions in his liver. He was apparently a
15 good candidate for ipilimumab. This is the drug
16 that is a CTLA4 inhibitor. And after the second
17 dose, within three weeks, he developed flagrant
18 liver failure with hepatic encephalopathy, hepatic
19 cellular necrosis, very dramatic enzyme increases.
20 And remarkably, because of the nature of this drug,
21 there is no ANA positive -- ANA is not remarkable.

1 And the immunoglobulins are not elevated either.
2 So, this is a particular feature of this kind of
3 autoimmunity. The clinicians thought this was the
4 drug reaction. They put the patient on prednisone
5 and they put them on high-dose steroids. The
6 patient didn't do very well and quickly died.

7 **MA#26:** The question for these kinds of drugs
8 is that new drugs are coming online to treat cancer
9 cells, basically through a therapeutic
10 autoimmunity. The issue is how to find the sweet
11 spot, what I have called an autoimmune Goldilocks
12 zone, where we are actually aiming to find the right
13 level of autoimmunity to deal with the cancer cell
14 but not to harm our organs. And how to do this more
15 elegantly is going to be the subject of more
16 research in the future; how to pick out the patients
17 who are susceptible, how to monitor them, how to
18 early intervene, and modify their treatment
19 course, and so on.

20 **MA#27:** In my last slide, I want to make some
21 self-evident comments about causality with regards

1 to autoimmunity, where we are challenged using an
2 algorithmic RUCAM score. And I just want to make
3 these points, looking forward to perhaps more
4 diversity RUCAM scoring, based on the drugs that
5 are in question. The points I want to make are that
6 the broad range of clinical presentations and
7 timelines challenges the utility of a single
8 algorithmic assessment of causality in these kinds
9 of reactions in autoimmune hepatitis.

10 Current RUCAM criteria of causality are not
11 in alignment with a late onset chronic autoimmune
12 phenotype of hepatitis. Time/exposure effects,
13 steroid responsiveness, histopathology, and
14 serology bear attention for such an algorithm.
15 Matching specific autoantibodies with certain
16 drug-induced injuries as an algorithmic criteria
17 for causality may have utility but requires case
18 and control testing with validation studies.

19 And finally, in the future, a set of
20 RUCAM-like scales might be established that would
21 be appropriate to align with particularly

1 drug-related AIH scenarios. So, right now, we are
2 sort of left with an expert opinion. But going
3 forward and our colleagues at the NIH and DILIN have
4 been thinking about this, maybe we will have more
5 than one set of algorithmic criteria to employ,
6 based upon the drugs that are suspected.

7 **MA#28:** So, then I am going to finish and go on
8 to our next speaker, Dr. David Berman, who works
9 at BMS. He is an expert immunopathologist who has
10 been guiding different aspects of their program in
11 oncotherapy. And he had a stint at the NIH working
12 with Dr. Kleiner as an MD-PhD and we are very happy
13 to have him.

14

15 **Berman, photo, biosketch,abstract**

16 **DB#1:** Thank you very much. I am going to talk
17 about immune-mediated toxicity from a new class of
18 therapies.

19 **DB#2:** I would be remiss at an FDA-sponsored
20 meeting if I didn't note that I am an employee and
21 shareholder of Bristol-Myers Squibb.

1 **DB##:** Historically, there have been three
2 pillars for anti-cancer treatment: radiation,
3 chemotherapy, and surgery. There is a new class
4 of agents which you will start hearing about, or
5 you may have started over the past couple of years,
6 and that is immuno-oncology, which is harnessing
7 the patient's own immune system to fight disease.
8 This is a very exciting area. It is new; you are
9 going to hear much more about it because there are
10 more of this class of drugs. But one of the issues
11 is, as was just pointed out, these drugs are
12 intended to activate the immune system to attack
13 the patient's own tumor. Consequently, there is
14 the risk that the patient will have immune-mediated
15 toxicity.

16 **DB#4:** There are some potential non-exclusive
17 mechanisms why a patient who receives an
18 immuno-oncology agent can develop an immune-
19 mediated toxicity. The immune therapy could
20 disrupt local or systemic homeostasis. The I-O
21 agent could induce priming of a new T cell response

1 to a self-antigen. And perhaps even the immune
2 system can induce a supraphysiologic response to
3 commensal flora, for example, in the gut or in the
4 skin and this could lead to bystander damage.

5 **DB#5:** Immuno-oncology agents can actually
6 induce immune-mediated toxicity in almost any
7 organ in the body, including the liver. And I know
8 this is a liver meeting but I am going to focus
9 mostly on the GI tract and the GI toxicities, and
10 I will discuss why but I would like to come back
11 to the liver towards the end.

12 **DB#6:** The drug that I am going to focus on for
13 the rest of the presentation is ipilimumab. This
14 is a monoclonal antibody that is being used to
15 treat advanced melanoma, and it targets CTLA-4.
16 And the reason I am going to focus on ipilimumab,
17 or ipi for short, is because it is one of the I-O
18 agents with which we have had the most experience.
19 We have had it for 15 years in the clinic and treated
20 over 10,000 patients in clinical trials. And now

1 there is a growing experience in the post-marketing
2 use for advanced melanoma.

3 T cell activation typically requires two
4 signals. The first signal is provided by the T
5 cell receptor recognizing the target antigen in the
6 context of an MHC molecule on an antigen-presenting
7 cell. The second signal is provided by CD28, which
8 binds to CD80 or CD86. Both of these signals are
9 required for the T cell to be activated. And CD28
10 is called the co-stimulatory signal.

11 **DB#7:** CTLA-4 is normally expressed in T cells
12 but it resides in vesicles within the T cell. And
13 upon strong T cell activation, these vesicles fuse
14 to the membrane surface, releasing CTLA-4, which
15 migrates to the T-cell antigen-presenting cell
16 synapse. And because CTLA-4 has a much higher
17 affinity for CD80 and 86, it can actually
18 out-compete CD28. And this turns off the
19 co-stimulatory signal, thus down-regulating the T
20 cell.

1 Ipilimumab, the trade name is Yervoy, works
2 by specifically binding and blocking CTLA-4 on the
3 surface of T cells, thus restoring CD28
4 co-stimulatory signal. CTLA-4 was discovered in
5 1988 by a French group and for the first five or
6 six years, it was not really clear how important
7 CTLA-4 was. And in fact, people initially,
8 erroneously thought that CTLA-4 was another
9 co-stimulatory receptor. It wasn't until 1995
10 that two groups deleted CTLA-4 in mice, showing an
11 incredibly striking phenotype of death by three
12 weeks due to massive lympho-proliferation in
13 multiple organs. And this includes
14 spectacularly, the pancreas and the heart.
15 Interestingly, the phenotype of this immuno
16 proliferation does not match the organs that we see
17 typically in patients treated with anti-CTLA-4.
18 Another interesting, unfortunate fact is that in
19 adult wild type mice blockade of CTLA-4 by an
20 antibody does not recapitulate the immune
21 pathology that we see in patients, for the most

1 part. We can exacerbate chemically-induced
2 colitis but we have been unable to really use mice
3 or even cynomolgus monkeys as test cases for
4 understanding the pathophysiology of anti-CTLA-4
5 toxicity in patients.

6 **DB#8:** This is a summary of the
7 immune-mediated toxicity that we observed with
8 ipilimumab or Yervoy. This is from the USPI and
9 it is from the pivotal phase 3 trial. It is a table
10 showing the incidence of severe to fatal
11 immune-mediated toxicity. And you can see 15
12 percent of all patients who received ipilimumab
13 developed some form of severe to fatal
14 immune-mediated toxicity. The most frequent is
15 enterocolitis but other organs involved included
16 dermatitis, hepatotoxicity and, interestingly,
17 endocrinopathy, among others.

18 Now, one question arises why do only 15
19 percent of patients develop clinically significant
20 toxicity? It is not clear. Why do some patients
21 develop enterocolitis, whereas others develop

1 hepatitis? Not clear. And the other interesting
2 fact is that we tend not to see syndromes. We don't
3 see ipilimumab-induced SLE. We don't see
4 ipilimumab-induced rheumatoid arthritis. They
5 tend to be organ-specific inflammation.

6 **DB#9:** Now, this is a summary of three key
7 questions which faced us in the early development
8 of ipilimumab but it can really be applied and
9 probably will be applied to all new I-O therapies
10 that are being developed.

11 First, can you design a management algorithm?
12 Second, can you prevent the toxicity? And for
13 Yervoy, the focus was really on GI because it was
14 the most frequently severe and the most frequently
15 fatal problem. And then finally, can you identify
16 the mechanism of this toxicity? And that includes
17 looking at the histology but also can we
18 differentiate it from autoimmunity and from
19 graft-versus-host disease?

20 Now, even when we started, we didn't fully
21 expect to find a complete overlap with autoimmunity

1 with Crohn's or ulcerative because we know those
2 are polygenic. They result from a gene
3 environment interaction, probably. Whereas, with
4 ipilimumab, we are specifically targeting a single
5 pathway. But, nevertheless, we wanted to see if
6 there was some overlap.

7

8 **DB#10:** So, I am going to focus on those three.
9 First, I will focus on the management algorithm.
10 There was a lot of trepidation when ipilimumab was
11 first administered to patients because, remember
12 that the mice who had CTLA-4 deletion died at week
13 three and there were thoughts about patients. It
14 just was not really clear. Thankfully, the
15 toxicities were manageable. And through trial and
16 error, an algorithm was defined.

17 First, recognition that these toxicities
18 could be fatal and, therefore, the hallmark of the
19 management algorithm was close monitoring. This
20 is not a drug where you treat the patient and send

1 them on a cruise for six weeks to come back. You
2 really need to follow these patients closely.
3 Toxicities that are severe to life-threatening
4 require corticosteroids and drug interruption or
5 discontinuation, based on the management
6 algorithm. Thankfully, the majority of patients
7 do respond to high-dose corticosteroids and the
8 majority do have complete resolution, although not
9 all. And through trial and error, at least for
10 ipilimumab, we had identified potential secondary
11 rescue medications. For enterocolitis,
12 infliximab seems to do very well. And for
13 hepatitis we used mycophenolic acid.

14 Now, I have been giving presentations
15 on ipi toxicity for about ten years and for
16 oncologists I always have to spend five or ten
17 minutes explaining why we never wanted to use
18 infliximab for hepatitis. But I think in this
19 audience, based on the earlier discussion, I don't
20 think you need an explanation about why avoided
21 infliximab for hepatitis.

1 **DB#11:** Now, I am going to move on to how we
2 could prevent the most severe toxicity. And what
3 we came up with in discussions with IDD experts was
4 the hypothesis that prophylactic oral budesonide
5 could be used to reduce GI toxicity. And we chose
6 oral budesonide because it has low systemic
7 absorption. It is an oral corticosteroid and so
8 we thought maybe this would dampen down the local
9 immunity and not result in systemic
10 immunosuppression.

11 Our primary endpoints, using the oncology
12 CTCAE criteria was grade 2, which is essentially
13 moderate to worse diarrhea. And we randomized
14 patients in a one-to-one fashion to oral placebo
15 versus budesonide in a double-blinded fashion and
16 all patients received ipilimumab. Unfortunately,
17 prophylactic budesonide did not prevent GI
18 toxicity. And you can see here in this table 33
19 percent of the budesonide arm developed grade 2 or
20 worse diarrhea compared to placebo. So,

1 unfortunately, budesonide cannot be used
2 prophylactically to prevent diarrhea.

3 **DB#12:** Fortunately, in this study, we
4 collected a series of biopsies and evaluations to
5 try and characterize the pathophysiology GI
6 toxicity. The first thing we did was pathology
7 because I am a pathologist by training and we had
8 all patients undergo endoscopy with biopsy one to
9 two weeks after starting ipi. And we did one to
10 two weeks because we really wanted to identify the
11 incipient changes that were occurring in the gut,
12 rather than waiting until patients had developed
13 florid inflammation that was potentially
14 secondary, rather than -- and that would obscure
15 the primary pathology. One in four patients did
16 have inflammation by histology. Similar numbers
17 had inflammation by endoscopy. The histology
18 included both acute inflammation and chronic
19 inflammation. And there was no significant
20 association between patients who had inflammation
21 at biopsy and subsequent enterocolitis. We also had

1 this reviewed by an expert gastropathologist who
2 found that the histology did overlap, somewhat,
3 with IBD but it is was distinct. For example,
4 there was some overlap with ulcerative colitis from
5 a histologic pattern but the location and the
6 endoscopic findings did not really match what is
7 typically seen with UC.

8 The hallmarks of Crohn's disease were present
9 in some patients but they were not consistently
10 observed in all patients. And, interestingly,
11 there was a distinct pathology from
12 graph-versus-host disease. So, we could not
13 clearly assign it to any of the classic buckets that
14 previously existed. Just as a point here, I will
15 take a second and little diversion to talk about
16 terminology. We have actually gone through a
17 whole series of terms to describe this. In fact,
18 when the drug first started, the term used was
19 autoimmune toxicity. We then evolved into
20 immune-related. And then finally, when working
21 with the FDA, we actually came up with the term

1 immune-mediated. And we actually moved away from
2 calling these autoimmune toxicities, although they
3 may very well be autoimmune toxicities, was that
4 we found -- we were concerned that some of the
5 doctors or the emergency room doctors who would
6 have seen these patients from a secondary
7 standpoint would confuse these with classic
8 autoimmune toxicity that might treat them
9 differently if they just got a report that this
10 patient had autoimmune enterocolitis. So, we have
11 actually moved away not from a mechanistic reason
12 but just from a medical information to calling
13 these toxicities immune-mediated.

14 **DB#13:** We also collected fecal calprotectin in
15 all of these patients at regular intervals. This
16 is a neutrophil-derived protein that is shed in the
17 stool and can be a marker of disease activity for
18 inflammatory bowel disease. And we found that
19 ipilimumab did induce an increase in fecal
20 calprotectin over time but it was not specific.

1 And I have three examples of patients shown here.
2 These are tables. On the x axis is time. In those
3 little triangles are doses of ipilimumab. And the
4 y axis is the amount of fecal calprotectin. This
5 first patient did have an increase in fecal
6 calprotectin but actually had no immune-mediated
7 enterocolitis. The second patient did, indeed,
8 have an increase in fecal calprotectin that did
9 precede severe or moderate enterocolitis. So,
10 that was what we had expected. But the third
11 patient had a severe enterocolitis with no
12 elevation in fecal calprotectin prior but did have
13 an increase in fecal calprotectin after the
14 enterocolitis had resolved. So, it was really
15 non-specific and cannot really be used to monitor
16 or to predict.

17 **DB#14:** We also looked at humoral responses to
18 enteric flora. These antibodies, which are to
19 either microbial antigens or to pANCA at the time
20 were being used in an exploratory fashion to try
21 and differentiate Crohn's disease and ulcerative

1 colitis. I know that they are not completely
2 validated and specific but we felt that they would
3 try to at least give us directional support as to
4 whether these were more of the CD or UC type of
5 picture.

6 We found that ipi did induce an increase but
7 it was non-specific and could not really be used
8 to classify the patients. I will discuss the data
9 in a second but I will point out that we also looked
10 at similar humoral responses to tumor antigens,
11 which are antigens that are only expressed in
12 tumors. We found a very similar phenomenon, that
13 ipi would induce fluctuations in humoral response
14 to these antigens. That probably has to do with the
15 mechanism of action that ipilimumab not only
16 activates CD8 T cells but also activates CD4 T cells
17 and that probably helps in enhancing a plasma cell
18 or humoral response.

19 So, for the data shown in the table here, each
20 column represents a different antibody to a
21 specific antigen. And we present these by the

1 number of patients by worst grade enterocolitis.
2 We had 115 patients treated in the first row. So,
3 including any grade for patients who had
4 enterocolitis and who didn't. And you can see that
5 out of those 115 only 10 to 25 percent actually had
6 an increase in humoral responses to these antigens.
7 Interestingly, of those who had an increase, the
8 majority actually never had any enterocolitis and
9 you can see that in the second row. But 61 patients
10 had no enterocolitis. And so you can see in the
11 first column, out of the 18 patients who had a
12 response to I2, 13 out of the 18 actually never even
13 had enterocolitis. And finally, in the last row,
14 of those patients who did have enterocolitis, there
15 were 42, only a minority actually had a positive
16 humoral response. And the frequency probably
17 matches the general population as well. So, humoral
18 responses could not be used to predict, nor could
19 they really be used to classify the
20 pathophysiology.

1 **DB#15:** I will now turn to hepatitis. I know
2 this is a liver conference. We have done more work
3 on enterocolitis A) because it is potentially more
4 severe and life-threatening; and B), the
5 biomarkers on the assessment tends to be much
6 easier. Patients can have endoscopy fairly
7 routinely because there are fecal biomarkers.
8 There is a lot of interest now in the microbiome.
9 We can look at humoral responses. For hepatitis,
10 we are limited to liver biopsies, but most of these
11 patients who have end-stage cancer don't want to
12 undergo a liver biopsy. We are limited to
13 serologies, to LFTs, which we do monitor but that
14 doesn't shed light, for the most part, on
15 pathophysiology. We have been limited to try to
16 explore the pathophysiology but, increasingly, I
17 do think there is going to be a need to understand
18 what is going on. Our biggest piece of information
19 comes from a case series that Dr. Kleiner reviewed.
20 He is the world's expert in liver toxicity from
21 ipilimumab because he has seen five patients who

1 had severe immune-mediated hepatitis from
2 ipilimumab. And what he observed is that these
3 were really a non-specific inflammatory pattern.
4 And the histology overlapped that with what you
5 could see with acute viral hepatitis and drug
6 reaction. And he concluded that this really
7 required clinical pathologic correlation. Now, the
8 majority of patients with ipi-induced hepatitis
9 will resolve to high dose corticosteroids. Those
10 who don't may respond to mycophenolic acid.

11 Many times, these patients have metastatic
12 melanoma to the liver and it can be hard to
13 differentiate whether this is a mass effect or is
14 really ipi-induced, or really an immune-mediated
15 picture.

16 But other immuno-oncology agents that are
17 being developed are likely to have a different type
18 of hepatitis that may be not responsive to
19 corticosteroids. Also, these immuno-oncology
20 agents are going to be given together in doublets,

1 they already are, and perhaps even triplets in
2 higher order combinations.

3 And for me, at least, hepatitis is the most
4 concerning of the immune toxicities we see because
5 it is such a key organ. With enterocolitis if it
6 is not responsive to corticosteroids, the surgeon
7 can always go in and do a colectomy. But if we
8 don't have the appropriate algorithms for
9 hepatitis, this is, obviously, a major problem in
10 these end stage cancer patients.

11 **DB#16:** We have also looked at dermatitis,
12 which is less of a problem, although fatal events
13 have been observed. And this is a case series from
14 the NCI of eight patients who had immune-mediated
15 dermatitis.

16 I should mention that in those five cases, we
17 had excluded viral etiology. We had excluded
18 other concomitant drugs. In this case series, we
19 excluded other concomitant drugs that may have
20 caused the dermatitis. But the histology and the
21 clinical pattern really represented a typical drug

1 reaction. There was predominately a T cell
2 infiltrate. Interestingly, some of these
3 patients had eosinophilia in their blood. And it
4 was distinct from autoimmunity and GVHD.

5 **DB#17:** As I mentioned, there are other
6 checkpoint receptors besides anti-CTLA-4 that are
7 being developed. There are other co-stimulatory
8 agonists that are being developed that target
9 receptors such as CD137. So, you will be hearing
10 more about these, guaranteed, over the next several
11 years. This does lead to an interesting academic
12 point in that we are intervening by targeting
13 single molecules in the immune system. And for the
14 most part, entry of these patients into clinical
15 trials requires no history of an autoimmune
16 disease. So, from an academic standpoint, this
17 really represents an experiment in patients where
18 we are manipulating single immune pathways and,
19 potentially, by combining multiple pathways. And
20 I think that this may help shed light on
21 autoimmunity, maybe.

1 This also raises another related question.
2 Does the safety profile of ipilimumab --- mostly
3 enterocolitis, skin, and liver --- shed light on
4 the role of CTLA-4 in preventing autoimmunity in
5 those organs? It is just a question.

6 **DB#18:** This is my last slide. Immuno-
7 oncology is an emerging treatment modality. It
8 has already demonstrated survival in at least two
9 tumors. For ipilimumab, the enterocolitis
10 picture, and the hepatitis and the skin appears to
11 stem from classic autoimmune conditions, but more
12 study is needed about the mechanism of action of
13 these toxicities. As was mentioned by Mark, we do
14 need to be able to predict who is going to be at
15 risk. And that probably represents the other hand
16 of understanding the pathophysiology. And once we
17 understand what is really happening, we might be
18 able to identify who is at risk. Thank you very
19 much. (Applause)

20 Moderatot Session IIIB-6

1 DR. AVIGAN: Thank you. That was
2 terrific. The last speaker for today's morning
3 session is Arie Regev, who is with Eli Lilly. He
4 is one of their leaders in liver safety. He has
5 an academic track record as well from the
6 University of Miami and before that in Tel Aviv.

7 He is going to tell us about a
8 hypersensitivity reaction to a drug in development
9 that is very interesting. So, we are going now
10 into the immunallergic arm of that scheme that I
11 showed you.

12 **Regev photo, biosketch, abstract**

13 **AR#1:** Thank you, Mark and thank you all for being
14 here and sticking with it. This is going to be a
15 little bit of a detour to the left side of the first
16 slide that Mark showed, which is a hypersensitivity
17 allergic-type of reaction.

18 And I am going to start with just a few general
19 comments, which I think could be summarized in
20 probably two words that were repeatedly mentioned
21 this morning: It's complicated.

1 **AR#2:** There is an accumulating amount of
2 data, but our understanding of the actual mechanism
3 underlying both what we call immune-mediated and
4 metabolic-type drug-induced liver injury, our
5 understanding is still incomplete. And there is a
6 basic approach to separate drug-induced liver
7 injury to two big groups, one of which is called
8 idiosyncratic and the other one is called either
9 intrinsic or sometimes dose-dependent. But
10 within the group that is called idiosyncratic, we
11 do know that there is a tendency to see those
12 reactions in patients who are getting medications
13 in larger or higher doses. And 50 milligrams a day
14 has been mentioned in several places as a cutoff.
15 Actually, 10 milligrams has been mentioned as a
16 cutoff as well for a higher number of drugs
17 represented in these groups.

18 What is very unusual in the groups of patients
19 that are seen with idiosyncratic drug-induced
20 liver injury is what we call a dose-dependency
21 curve. And this is pretty rare to see and almost

1 not reported in the literature. And the aim of
2 this short presentation would be to actually show
3 you a group case series of patients that seem to
4 be doing just that.

5 **AR#3:** So, this is a group of patients that
6 were treated with an anti-inflammatory drug in
7 development within Eli Lilly. The name of the
8 compound was an mPGES-1 inhibitor. To make a long
9 story very short, the point about this particular
10 molecule is that in the prostaglandin pathway but
11 lower than the COX-1 and COX-2 inhibitors, you can
12 see it in the red in the right lower part of the
13 slide on the pathway to prostaglandin E2, which is
14 the main mediator of pain and inflammation. This
15 is where this particular molecule was supposed to
16 hit as an anti-inflammatory, anti-pain drug.

17 **AR#4:** And this was a Phase I study that I will
18 describe to you in a little detail, just so you can
19 get the data in the correct perspective. It was
20 a double-blind dose-escalating study of 28-day
21 duration. Hepatic biochemical tests were done at

1 least once weekly. And there were five treatment
2 groups.

3 As you can see, there was a placebo group that
4 included 6 patients. There was a comparator group
5 of another NSAID, which was celecoxib 400 milligram
6 once daily. And there were 3 what we call for short
7 LY, which are the study drug molecules: one 25
8 milligram group of 8 patients, a 75 milligram group
9 of 10 patients, and the last one was a 225 milligram
10 group of 9 patients. I would tell you here very
11 briefly that we were actually planning on going for
12 a fourth group, which was supposed to be a 450
13 milligram group, that was stopped early.

14 **AR#5:** So, a little bit more about the design
15 and the outcome of the study. There were a
16 priori-defined stopping rules, based on the FDA
17 guidance. Patients were basically healthy, as far
18 as their livers. They were healthy volunteers
19 with no alcohol drinking history. And their
20 plasma and urine were analyzed using HPLC and HRMS

1 to determine metabolic profile and assess for
2 reactive metabolite formation.

3 **AR#6:** And everything looked pretty nice and
4 dandy until we had to suddenly terminate the study
5 because 2 cases of DILI were discovered in subjects
6 who received 225 milligrams for about 19 days. And
7 I will show you these 2 patients very soon. But
8 as we started the study, we immediately looked at
9 all the other patients, interviewing them closely
10 and taking blood samples. And we discovered that
11 there were 4 more cases already showing significant
12 reactions. So, we ended up with 6 patients,
13 showing drug-induced liver injury. And just so you
14 know, to give you a little bit of a spoiler, all
15 6 subjects recovered, following the
16 discontinuation but it wasn't a significant
17 period.

18 **AR#7:** So, the patients affected with
19 drug-induced liver injury numbered 6, of which
20 there were 4 females, 2 males, ages 32 to 59. They

1 all had a normal hepatic biochemical tests on
2 enrollment at baseline.

3 **AR#8:** The presentation was between 16 days
4 and 34 days, a mean of 22 days after starting the
5 study drug. And again, they were caught in
6 different times because we stopped everybody on a
7 certain date and then looked back to see how long
8 they were treated.

9 **AR#9:** Symptoms included epigastric pain,
10 fatigue, nausea, low-grade fever, and rash. And
11 you can see here that two of the patients that had
12 rash actually had urticaria.

13 **AR#10:** Now, going to the ALT levels. So, as
14 you can see here, all six cases had ALT levels of
15 more than three times upper limit of normal.
16 Remember, they were enrolled with normal levels.
17 Six cases, actually had -- 4 cases had more than
18 15 times upper limit of normal for ALT and one case
19 had more than 45 times upper limit of normal of ALT.

20 **AR#11:** Alkaline phosphatase and total
21 bilirubin, on the other hand, did not exceed 1.5

1 times upper limit of normal. There were mild
2 increases but nobody reached 1.5 times upper limit
3 -- or not exceed 1.5 times upper limit of normal.

4 **AR#12:** Eosinophilia of more than 10 percent
5 was seen in 5 of those subjects, bringing us into
6 this area of hypersensitivity type reaction. And
7 in 2 subjects, it was more than 20 percent
8 eosinophilia count. Viral serology for hepatitis
9 A, B, C, D, and E actually no D but E was negative.
10 Antinuclear antibody, anti-smooth muscle
11 antibody, ultrasound performed to each one of these
12 patients, they were all negative. So, we were
13 pretty much left with a very clear picture of
14 drug-related phenomenon.

15 **AR#13:** A little bit more about the clinical
16 course of these patients. So, the things went a
17 little bit on the dramatic side here. The two
18 first patients were worrisome. They were very
19 symptomatic. They had very high ALT levels and
20 they were hospitalized by the principle
21 investigators. And in the hospital, they were

1 treated by hepatologists who decided to treat them
2 with N-acetylcysteine. And both of those patients
3 underwent liver biopsies very soon after they were
4 admitted. And again, I am not sure this was
5 completely indicated, N-acetylcysteine but,
6 nevertheless, it was given and they showed
7 improvement after that, which we will never know
8 if it was related or unrelated but since the others
9 improved without it, it is very likely that they
10 were unrelated. You can see the course of the 2 that
11 were hospitalized, the ALT changes and the course
12 of those that were not hospitalized and did not have
13 biopsy. These data were published by the
14 hepatologists who were treating them in the
15 hospital. I'm not going to tell you where it was.

16 **AR#14:** And the liver biopsies were actually
17 published as well. And you could see very clear
18 zone 3 necrosis with numerous portal and lobular
19 eosinophils. If you look at the right upper hand,
20 you can see pretty clearly a few eosinophils. In
21 the lower left side, it is a smaller size but both

1 lower frames have a lot of the eosinophils at the
2 same time and you can see maybe even at the
3 beginning of a granuloma-like structure in the
4 right lower frame. There was no fibrosis. There
5 was, interestingly, some cholestasis. If you look
6 at the right upper frame, there is a very distinct
7 area. I should have some kind of an arrow but I
8 don't know where it is. But there are distinct
9 areas of cholestasis in that area.

10 **AR#15:** So, looking at the dose relationship,
11 we noticed a very interesting observation. In the
12 placebo group, there were no reactions. In the
13 comparator group, there were no reactions. In
14 patients that got LY 25 milligrams daily, there
15 were no reactions. In the group that got 75
16 milligrams daily that were 10 patients, there was
17 1 patient who had an increase of her ALT and an
18 increase in eosinophils. So, a similar type, one
19 of the milder presentation. On the other hand, the
20 group that got the 225 milligram, which was 3 times
21 higher than the 75 mg dose, out of the 9, 5 patients

1 had significant drug-induced liver injury, which
2 was, in most of the cases, severe. And that comes
3 up to a 56 percent of the group that was treated.

4 **AR#16:** So, we, of course, did not continue on
5 to the 450 milligram dose, but it is probably likely
6 that we would get as high as 100 percent with that
7 dose. And this is not a usual observation for a
8 hypersensitivity type hepatocellular drug-induced
9 liver injury. Evaluation of the dosing groups
10 demonstrated a clear trend, as you can see, of
11 increasing likelihood with increasing dose. But
12 despite this trend, plasma concentrations of LY in
13 DILI patients who had the same dose was basically
14 comparable. And the exposure was within the
15 prediction range, based on the single dose data.

16 **AR#17:** I don't have a lot to show you here but
17 this is a simulated steady state concentration.
18 And you can see the patients who received 225
19 milligrams were in a completely different zone, as
20 far as exposure, compared to patients who received
21 the 75 milligram dose.

1 **AR#18:** And the behavior of eosinophilia also
2 followed the same trend. So, this was a very
3 strongly dose-dependent presentation and
4 manifestation. So, including eosinophilia,
5 eosinophil count followed the same pattern.

6 **AR#19:** Then we did various analyses and tests.
7 And one of the interesting findings was IgE levels
8 that were significantly elevated in the DILI cases,
9 compared to patients who did not develop DILI.
10 There was no difference with IgG, IgA, and IgM.
11 I remind you, ANA and ASTHMA were also not elevated.
12 This was not an autoimmune type of phenomenon but
13 they did have a significant increase in IgE.

14 **AR#20:** We did look for metabolites, trying to
15 understand the mechanism. There were no unique
16 human metabolites identified, compared to animal
17 studies. Profiling of human plasma using LC and
18 MS revealed the presence of LY and three main
19 metabolites, which were called M1, M3, and M5. In
20 all plasma pools, the parent drug was the
21 predominant drug-related component. M3 was

1 generated from hydrolysis of an intermediate
2 epoxide and M3 was the most prominent metabolite
3 observed and the only one that was observed across
4 all plasma samples. And based on the LC/MS ion
5 intensity, the relative percentage of M3 was less
6 than two percent in patients that received 25
7 milligrams and 75 milligrams but was between two
8 to ten percent in the 225 milligram group.

9 **AR#21:** And a few final comments. We know that
10 this type of allergic/hypersensitivity phenomenon
11 has been described from various drugs. We have
12 seen a few in the previous talk and in other talks.
13 And there is an interesting use of nomenclature.
14 Different people in different disciplines call
15 these phenomena in different ways and give them
16 slightly different definitions. And we hear terms
17 like DRESS syndrome, which is drug reaction with
18 eosinophilia and systemic symptoms. We hear DIHS,
19 drug-induced hypersensitivity syndrome, AHS,
20 which is the anticonvulsant hypersensitivity
21 syndrome. They are many terms used for very

1 similar conditions with slightly different
2 definitions. But in most cases, immunoallergic,
3 features are believed to be associated with worse
4 outcome in DILI patients. Enhanced by the way in
5 the discontinuation rules of the FDA guidance,
6 eosinophilia is considered one of the reasons to
7 discontinue early when ALT crosses three times
8 upper limit of normal.

9 In a very recent study just published by the
10 DILI group, there were immunoallergic features.
11 Two out of the three that you see here at the bottom
12 of the slide were present in 11 percent of the
13 patients with hepatocellular DILI. But of course,
14 these are rare phenomenon and there is no mention
15 of a dose-relationship curve. This is a pretty
16 unusual observation.

17 **AR#22:** To summarize, a dose-response
18 relationship has rarely been described with
19 immune-mediated DILI. There are partial
20 descriptions about a few drugs, but still, a very
21 rare presentation, which suggested is not a

1 complete dose-response curve. This case series
2 described 6 patients who presented with acute
3 hepatocellular hypersensitivity-type DILI, which
4 was strongly dose-dependent. Basically, we could
5 have reached, with the next dose, probably 100
6 percent drug-induced liver injury frequency, which
7 is unusual. You would probably have one other or
8 two other drugs to mention with such a phenomenon.
9 Tylenol will be the prototype for these type of
10 response.

11 DILI occurred in about 56 percent of patients
12 receiving the high dose. Exposure was
13 significantly higher with higher doses but was not
14 different within the same dose cohort. We do not
15 know what would have happened, if we had continued
16 treatment for one more week. It might have reached
17 many more patients.

18 DILI patients were more likely to be older and
19 female, even though we have a very small number than
20 patients who did not develop DILI. And finally,
21 although a specific metabolite may be involved with

1 the DILI mechanism here, additional work may be
2 needed to clarify its role.

3 **AR#23:** And I thank you for your attention.

4 Thank you very much.

5

1 **Session IIIB Discussion**

2 DR. AVIGAN: We are going to open this up for
3 questions. And we have a few minutes and then we
4 are going to go on to a second mini session with
5 Paul Watkins on the consortium idea that we have
6 been discussing. Ten minutes? Okay, so we will
7 give ourselves maybe 15 or 20 minutes, max.

8 DR. TILLMANN: I have two questions to ask,
9 two questions to Arie. One is: did you look for
10 whether the metabolites were differently
11 distributed among the DILI and non-DILI? In the
12 case series were the metabolites different?

13 DR. REGEV: They were not but I can bring you
14 -- or correct me when I am saying something that
15 is not completely accurate. So, she is giving me
16 a thumbs up. They were not, as far as we know.

17 DR. TILLMANN: And for the skin reaction, it
18 looks like perhaps they one needs to distinguish
19 rash as an immunoallergic feature from a severe
20 skin reaction, which probably might explain why I
21 know we are saying it is good and you are saying

1 it is bad. Because the patients probably had bad
2 skin reactions when they have a bad outcome.

3 DR. REGEV: Yes, I think it is a good comment.
4 I think there is a definition thing. And clearly,
5 one is associated with what we call severe skin
6 reaction, like Stevens-Johnson syndrome. Those
7 have been very clearly shown to known to have bad
8 prognosis. The others, hypersensitivity reaction
9 is being described in two different ways in the
10 literature. Some say it is a bad predictor and
11 recent, a few articles say maybe it is a good
12 predictor. And even biopsies having eosinophils
13 have been said to be a good predictor and a bad
14 predictor but different outcomes. So, I think
15 there is still to be learned about this. I agree.

16 DR. REGEV: So, I am unable to present exact
17 data about preclinical studies but I can tell you,
18 in general, that the answer is yes, there were some
19 findings in animals. They were completely
20 different from what we saw here, as far as the

1 pattern, timing. They were completely different
2 but they were not clean animal studies.

3 DR. WRIGHT: Terry Wright with Genentech.
4 My question is to Drs. Avigan and. Berman.
5 Checkpoint inhibitors PD1, PDL1 may be associated
6 with somewhat less immune-mediated injury. And
7 there was a suggestion from the ipi data that the
8 patients who have metastatic liver disease may be
9 at increased risk. My question actually relates to
10 the risk quotations with liver disease and some
11 with new checkpoint inhibitors, NASH, which is so
12 common, hepatitis B, hepatitis C I know has been
13 excluded from many of these trials but we are now
14 looking at the use of these trials in patients who
15 have metastatic carcinoma. So, my question relates
16 to sort of what do we know about the risk of these
17 new drugs in the setting of patients who have liver
18 disease either viral disease or nonviral disease.

19 DR. AVIGAN: You are asking about
20 ipilimumab, which was sort of a poster child. The
21 adverse events which looked immune-mediated were

1 seen in clinical trials. They were actually quite
2 frequent. They were clearly drug-related. They
3 were published in *The New England Journal* article
4 back in 2011. The registration trial was nicely
5 published with regards to the catalogue of adverse
6 events.

7 And your question about other ligands, which
8 have similar effects but you are saying may be less
9 than PD1 to PD1 ligand and there actually are now
10 new therapies also coming online with regards to
11 genetically engineer lymphocytes, which we will
12 see more of and may have similar catalogues of
13 adverse events. I think that the drugs that are
14 approved so far labeled similarly across the
15 products, whether quantitatively have similar risk
16 effects where there is important nuances, I don't
17 think we have that data yet.

18 DR. BERMAN: A couple of perspectives. I'm
19 not sure if we actually ever published this data
20 but we looked at whether baseline liver metastasis
21 was a risk factor for developing immune-mediated

1 hepatitis. And the answer was no. Patients who
2 had baseline liver mets were not at increased risk
3 of developing. Because of our concern about the
4 liver toxicity, we excluded hepatitis B, C. But
5 it turns out, and we don't have definitive data,
6 there are case reports that you can look in *PubMed*,
7 a case series of patients with hep B or C who were
8 treated with ipilimumab and actually did fine. We
9 just did not study those comprehensively but you
10 can actually look at the literature for that. And
11 you are probably aware that a lot of these
12 checkpoint targets are also being investigated for
13 virology. There have been a lot of preclinical
14 work showing that these checkpoint inhibitors can
15 also restore T cell exhaustion and chronic viral
16 diseases. So, of course, there is interest in
17 hepatitis B, at least.

18 DR. AVIGAN: I just want to add one other
19 point, which is an important point that was raised
20 by David, also, which is combinatorial therapy. So,
21 the combination drug that was mentioned by somebody

1 was a BRAF inhibitor. I think it was you. The
2 drug was a CTLA-4, it is the animal model, but also
3 of a BRAF inhibitor. So, when we start tinkering
4 around with a biosystem network and you are worried
5 about the canoe going off the edge of the cliff,
6 to some extent, you don't know exactly how the
7 homeostasis controls really work for compensation.
8 But I think the more combinatorialism you
9 introduce, the more uncertainty there is in who
10 might be a bad actor.

11 DR. REGEV: Can I ask David, from a
12 mechanistic standpoint, you were not expecting a
13 reactivation of hepatitis B as a side effect for
14 this drug, or were you?

15 DR. BERMAN: No, we were not but we were
16 concerned that anything that would induce
17 inflammation of liver that ipilimumab would
18 exacerbate and cause worse toxicity. That was the
19 concern, not reactivation.

20 PARTICIPANT: Thank you. I think we need to
21 be very careful when we use the terminology. So,

1 I was following up the case that Mark Avigan
2 presented ipilimumab and drug-induced liver
3 injury, 1700 in ALT or something. The patient
4 didn't have any autoantibodies. So, there were no
5 features about autoimmunity. And to put this
6 patient on 18 milligrams of prednisone, I don't
7 think there was an indication for that. I don't
8 think that for metabolic idiosyncrasy, there is no
9 role for steroids there. So, the fact is that even
10 though some patients develop autoimmune hepatitis
11 from this drug doesn't mean that everybody was
12 drug-induced liver injury.

13 DR. BERMAN: May I just make a comment on
14 that? Actually, I thought 80 milligrams for this
15 patient was too low. This patient should have
16 gotten 125 or 250 milligrams.

17 PARTICIPANT: Why? There were no features
18 of autoimmunity.

19 DR. BERMAN: No. Okay, this is exactly why
20 I stated earlier what I said before, which is about
21 terminology. We did not want to call these

1 autoimmune for a variety of reasons. But it is
2 called immune-mediated. And what we found in the
3 clinical trials is that early intervention with
4 high-dose corticosteroids can rapidly reverse the
5 toxicity.

6 PARTICIPANT: How do you know? There is no
7 control group.

8 DR. BERMAN: No, there is no control group
9 but the reason there is no control group is because
10 the toxicity can be so life threatening that you
11 really can't give a watch and wait versus high-dose
12 corticosteroids. I think that this is actually an
13 interesting point. And there is probably an
14 education component here that has to be about --
15 and it is not just hepatologist. I think as more
16 patients as endocrinologists and as
17 gastroenterologists see, there needs to be more
18 type of education about what is going on and why
19 is this different from how you would normally treat
20 that.

1 PARTICIPANT: You know people said it was
2 unethical to do a plus equal control trial with
3 ursodeoxycholic acid in PSC because everybody knew
4 in Germany, everybody knew it helped. It was found
5 out that those who received active treatment had
6 worse outcome with high doses. So, I mean we are
7 hearing clinical medicine. If you are going to
8 propose a huge dose, you need some control data,
9 not because you believe it.

10 DR. AVIGAN: I was going to say that your
11 point about nomenclature is correct. We probably
12 need to evolve our nomenclature. Because I tried
13 to actually agree with your point in what I was
14 saying, which was if we call this autoimmune, and
15 maybe that is a bad term, it is a different kettle
16 of fish. There are no autoantibodies, et cetera.
17 But the question then becomes there seems to be a
18 souped-up autoreactive T cell mechanism, which is
19 part of how these drugs work. So, the rationale
20 for steroid use here has to do with the effect of
21 steroids on such cells, in terms of their activity.

1 PARTICIPANT: Any theoretical possibilities
2 that do not turn into be a real thing,
3 unfortunately, even though -- just a small comment
4 that is the case that Arie presented. I think even
5 though it killed your drug, it didn't kill any
6 patients. So, it doesn't mean that it is serious.
7 I think the skin reactions in the DILIN paper is
8 not immunoallergic. I mean Steven Jones is not
9 immunoallergic. It is something else; it is
10 nomenclature.

11 DR. REGEV: Right, right. I agree. And
12 just for technical regulatory standpoint, all of
13 these patients crossed what we call the
14 FDA-recommended stopping rules because they were
15 all significantly symptomatic and ALTs were as high
16 as 45 times the upper limit of normal. There was
17 no real practical way to continue treating them.
18 And of course, this was not a life-saving drug. It
19 was an NSAID. But, I agree with your comment. It
20 is point well taken.

1 PARTICIPANT: I have a question for Dr.
2 Regev. And for that compound, do you find some
3 reactive metabolites. Are the metabolites are
4 they reactive or stable metabolite?

5 DR. REGEV: Well, do you want to comment on
6 this? It was what we considered a reactive
7 metabolite but we have a person right here that
8 could elaborate.

9 PARTICIPANT: What we saw in humans but also
10 saw previously in rats and dogs, which are Arie had
11 correctly said, the etiology of the liver toxicity,
12 there was some liver toxicity in the rats, very
13 minor. There was something more severe in the god
14 but it was hepatocellular degeneration not even
15 necrosis. It put our focus on lookING at liver very
16 intensively when we do this clinical trial, the
17 actual presentation and progression was, as you
18 saw, completely different than what we saw in
19 animals. But, all that said, the animal metabolic
20 profiles were almost identical and they did show
21 bioactivation in all circumstances.

1 So, we have this one ring. It gets
2 epoxidized. It blasts apart. We got cysteine
3 conjugates. We got glutathione conjugates. In
4 looking back on it in retrospect that we maybe
5 should have been a little bit more cautious about
6 that, seeing it already in the animals. But you see
7 all those metabolic pathways, all the
8 bioactivation and the animals did okay. The dog
9 data emerged after three months of chronic dosing.
10 There is no way we ever saw that hepatocellular
11 degeneration. It is frustrating to be in the
12 preclinical space and not be able to recapitulate,
13 even when you have all your metabolites covered and
14 an understanding of the clearance pathways that we
15 were not able to figure out what was going on.

16 DR. REGEV: And just to stress this point, so
17 dog studies showed first response after three
18 months of treatment. It was mild alkaline
19 phosphatase elevation. So, just to show you how
20 poor translational quality we have. But that was
21 the reason. Since this for us showed the liver as

1 a potential target, this is why we checked liver
2 test so often and this is why we were so prone to
3 discontinue when we saw the first signs. I mean
4 this was significantly sick patients. But yes, we
5 did have a few warning signs in the animal studies.

6 DR. AVIGAN: We have just a few more minutes.

7 PARTICIPANT: Here is a question for Dr.
8 Berman. Why infliximab and not mycophenolic acid.
9 So, maybe some pretty severe case induced liver
10 injury caused by the activation of CD8 cells and
11 the depleting CD8 cell would help prevent the sever
12 cases. Do you have some experience or some
13 hypothesis about phenophytic assay treatment?

14 DR. BERMAN: So, you are asking whether we
15 actually have patients treated with mycophenolic
16 acid?

17 PARTICIPANT: Yes.

18 DR. BERMAN: Well, yes. Yes, we have. And
19 actually, interestingly, it is a balance, as Mark
20 said, which is we don't want to deplete the

1 antitumor T cells. We want to deplete the
2 autoreactive T cells. There is always a balance.

3 PARTICIPANT: Published in literature?

4 DR. BERMAN: Yes, there was published
5 literature.

6 DR. AVIGAN: I mean I just have to say that
7 part of the problem here is that the good cells are
8 also the bad cells, to some extent. It is kind of
9 like inducing graft-versus-host disease growing in
10 our transplant patient to kill CML cells. But you
11 know there is a kind of balance, which may be
12 actually more quantitative than actually what is
13 specifically being targeted.

14 PARTICIPANT: So, I guess what you need is a
15 complex nomenclature. Is that what you are
16 talking about? Okay. Anyway, I have a question
17 about the T cell infiltrates or the lymphocyte
18 infiltrates. Did you actually look at that a
19 little closer? Are they CD4 or CD8 on both
20 examples and are they polyclonal?

21 DR. BERMAN: In the liver?

1 PARTICIPANT: Liver, skin, whatever.

2 DR. BERMAN: Yes, I don't remember the data.
3 I think that was published, at least. I don't
4 remember it offhand but from a clinicality
5 standpoint, no, we haven't looked at that. Yes,
6 that was published. I just don't remember it
7 offhand.

8 PARTICIPANT: So, I am not quite sure about
9 the autoantibodies titer is not collated with the
10 injury. For the model that Jack Uetrecht about it
11 in last fall lab, there was clear correlation
12 between our antibodies in the serum of the animal
13 and ALT. That is one thing. The other thing and
14 the study was done 25 years ago when my Ph.D. would
15 be the hydralazine with autoantibody against
16 P4501A2, it was found that when we would stop the
17 drug for a few months, each time we test the sera,
18 it is dropped in the titer of the antibody. It is
19 not clear to say yes and no because the patient you
20 have like maybe 10, 20 and there are different times

1 that you take the serum and it is very hard to make
2 the conclusion. That is one question.

3 The second and my comment, the question about
4 the oncology drug. Did you look at any
5 immunosuppressive cells that you see that may be
6 dropping in the liver in this patient? Because in
7 the cancer, you have these immunosuppression and
8 that is probably going to give us some ideas about
9 how the hepatitis could be.

10 DR. BERMAN: Yes, I think that we don't but
11 that work absolutely needs to be done. And if you
12 know how to do that without being too invasive, I
13 think the problem is actually getting the samples.
14 You know these are end stage cancer patients. They
15 usually don't want to have a biopsy, unless they
16 really have to. Nobody wants to, end stage or not.

17 PARTICIPANT: Two quick questions. The
18 first one is in the healthy volunteer study we just
19 heard about. Did you do any skin tests either
20 before, during or after in those volunteers? And
21 what do you think about that?

1 DR. REGEV: You are referring to the study
2 that I -- no, this was as surprise for us. So, no,
3 we didn't have skin biopsies. And the skin
4 conditions resolve very quickly. So, we don't
5 have data. But in general, we took the picture to
6 be a pretty classical hypersensitivity type
7 syndrome with eosinophils, rash, urticaria, and
8 the eosinophilic infiltrates. So, we didn't go
9 after the skin lesions themselves.

10 PARTICIPANT: I was thinking then you could
11 use it. I mean, obviously, you are still
12 interested in the topic. The question is how you
13 get around it and avoid that hypersensitivity.
14 And that might be one way to approach the system.

15 DR. REGEV: That is a good point. And we
16 have had many discussions on second and third
17 generations that, unfortunately, I am not able to
18 discuss.

19 PARTICIPANT: The second question was in the
20 -- I can't really pronounce it, the modulation of
21 that system. There is, obviously, always a worry

1 when you use an immunology activating agent. And
2 I think the CD28 story is very cautionary. But you
3 also have the chance to use your own antidote in
4 that system and fine tune and regulate. So,
5 obviously, you want to treat the cancer but it is
6 a balance. How do you -- did you think about that?
7 And what do you think about that as an idea?

8 DR. BERMAN: Yes, so we have anti-CTLA-4
9 ipilimumab and then we have CTLA-4 Ig, which is
10 Orencia used to treat rheumatoid arthritis. We
11 actually thought as using that as an antidote but
12 we were worried about antibody complexes forming,
13 causing other trouble. But we have actually -- I
14 mean we have jokingly talked about it more than
15 anything.

16 DR. AVIGAN: I think we are going to end at
17 this point and ask Paul Watkins to come up and give
18 us a little summary of our meeting yesterday.

19 DR. BERMAN: Thank you very much.
20

1 **Session IIIC Discussion**

11:30 am

2 **Watkins photo, biosketch (no abstract or slides)**

3 DR. WATKINS: Okay, we had a meeting last
4 night at 8 pm. I think about a third of the
5 audience attended, with which we were delighted,
6 It was a show of support to talk about the potential
7 of starting a Liver Safety Research Consortium.

8 Now, I don't have to, in this group, say that
9 the major adverse event that historically caused
10 drug abandonment in development has been
11 cardiovascular but liver is right behind it by a
12 couple of percentages. And there is now a
13 regulatory path forward for the major group of
14 cardiovascular adverse events, which is searching
15 for data on torsade de pointes, which involves an
16 electrocardiographic prolonged QT study. There
17 is no equivalent path for drug-induced liver
18 injury. The question is whether we should clone
19 a very successful organization called the Cardiac
20 Safety Research Consortium. It was launched in 2006
21 through an FDA critical path initiative memorandum

1 of understanding with Duke University to support
2 research into the evaluation of cardiac safety of
3 medical products. And really what got this going
4 was the creation of an electrocardiogram
5 warehouse. Norm Stockbridge really was the central
6 person who dictated that ECGs had to be in a
7 standard electronic format, so they would be
8 comparable from one organization to another and
9 then had, over time, accumulated these electronic
10 ECGs, initially, in the prolonged QT studies. So,
11 this opportunity to look and analyze this aggregate
12 data in a precompetitive fashion across the
13 companies was really what started the cardiac
14 research safety consortium.

15 Now, the mission of this consortium is to
16 advance regulatory science specifically related to
17 precompetitive cardiac safety issues, through the
18 collaborative process of a public-private
19 partnership across interested stakeholders, with
20 many participating pharmaceutical companies. And
21 in addition, Quintiles and a couple of contract

1 research organizations and some medical device
2 manufacturers are partners in it.

3 And the ECG warehouse is only what started it
4 but the companies actually own their own data in
5 it. And my understanding is the data of the actual
6 ECGs did not come from the FDA but came from the
7 individual pharmaceutical companies to set this
8 up. And then release of the data for additional
9 analyses represents the collaborative effort of
10 scientific good will within this consortium. A
11 scientific oversight committee has been formed to
12 evaluate proposals for use of the released ECG data
13 and to foster collaboration within the research
14 community. They have published over 30 different
15 white papers that have been very influential in
16 determining practice but also regulatory
17 approaches to evaluating cardiac safety. And a
18 lot of them, initially, were around arrhythmias and
19 prolonged QT in this ECG warehouse data. And so
20 this is a fairly recent publication, "Can thorough
21 QT:QTc study be replaced by early QT assessment in

1 routine clinical pharmacology studies?
2 Scientific update and a research proposal for the
3 path forward." A long list of authors that include
4 regulators, include industry and academic leaders.

5 But over time, they have really drifted from
6 that initial focus to really look at broad areas
7 of cardiac safety and they developed a relationship
8 with the American Heart Journal to get sort of
9 accelerated access for publication of these white
10 papers. So, a centralized adjudication of
11 cardiovascular end points in cardiovascular and
12 noncardiovascular pharmacologic trials: A report
13 from the Cardiac Safety Research Consortium;
14 Assessment of drug-induced increases in blood
15 pressure during drug development and again, a
16 report from the consortium.

17 So, is the time right to start a Liver Safety
18 Research Consortium? Analogous, somewhat to the
19 ECG database, the warehouse, John and Ted Guo have
20 been accumulating data in the eDish format that I
21 thought was liver test data on 150,000 patients.

1 John said last night it is much more than that now.
2 And we learned last night that the trigger to ask
3 a company to put the data into the eDISH format,
4 to submit to the FDA, is really the NDA reviewer.
5 So, when any medical reviewer raises a liver safety
6 concern, that is the trigger that leads to a request
7 for these data to be submitted in a standardized
8 format.

9 You have seen the classic eDISH plot. It is
10 not the ideal dataset to begin to answer all the
11 questions we want but it has, not only the peak ALTs
12 and bilirubins, but has also the four traditional
13 serum liver test chemistries, serially over time
14 for every single subject or patient, represented
15 by a single point. So, you can click on it and get
16 the time course of all liver test data for that
17 person.

18 The FDA cannot release these data, which are
19 confidential property of the companies submitting
20 them. We would have to get it directly from the
21 companies who are willing to volunteer data from

1 the comparator or placebo-controlled group. That
2 would involve, somehow cutting out the data from
3 a proprietary drug, if you wanted to do that. And
4 in some cases, a comparator may be a proprietary
5 drug but I am told that is a minor issue and most
6 of the data that is in the eDISH format.

7 So, you can begin to ask questions like what
8 is the incidence of ALT elevations at various
9 levels in a placebo-treated multiple sclerosis
10 population or congestive heart failure and begin
11 to perhaps get the data to have disease-specific
12 reference ranges. Again, not ideal. These are
13 not -- you know -- people are on multiple drugs.
14 But I think the consensus was this would be a
15 valuable starting point. Certainly, if companies
16 weren't willing to forward this data, it would be
17 a tremendous challenge to get more in-depth data.

18 So, the other point is that the climate is
19 changing for liver safety evaluation. A
20 requirement that all NDAs be in eDISH- compatible
21 format would create a great opportunity because it

1 would become much easier to compare data across
2 different companies. And there is evolution of
3 new data management and commercial analytical
4 tools, such as a Spotfire and JMP Clinical and I
5 think JReview as well that are now designing
6 themselves to be able to use that data and extract
7 it in a very efficient way. They have some marvelous
8 visualization tools that I think will transform the
9 ability to analyze the data. And I think it is
10 important that experts such as those in this room
11 have not only a front row seat but actually be
12 involved to see that there is appropriate
13 interpretation. My own personal interest is in
14 the biomarkers, which you will hear about but I
15 think the new genetic biomarkers are going to
16 revolutionize the assessment of liver safety.

17 We heard yesterday that SAFE-T, for instance,
18 is moving to try to get context of use of a variety
19 of different biomarkers. This is just the
20 beginning. But we know now that the
21 interpretation of those is not as simple as initial

1 hypotheses and they are going to have to applied
2 to thousands of patients across multiple diseases,
3 multiple drugs, to really get the most accurate
4 assessment of how useful these will be. But I
5 believe it will revolutionize the assessment of
6 liver safety.

7 But what that means is now companies need to
8 start deciding when and what to save, perhaps just
9 when a potential liver signal is detected. It is
10 it serum? Is it plasma? We will hear an example
11 of urine. How to store them. How to process them,
12 for that matter.

13 And then how to make sure they are linked to
14 the relevant phenotypic data so that years after
15 the fact, when the team has moved on, maybe even
16 the drug is abandon, it is very easy to go back and
17 find those specimens, find the cases, find match
18 controls, which all should be very easy to do with
19 the new data management tools that are coming
20 online. The initial leaders that have basically
21 stepped to the floor, is me on the academic side,

1 Mark Avigan and John Senior on the FDA side, and
2 Michael Merz on the industry side.

3 We have full cooperation with Duke University
4 to synergize this with the Cardiac Research Safety
5 Consortium and do economies of scale, wherever that
6 is possible. They will share all their
7 contractual agreements and lessons that they have
8 learned.

9 What came out last night was endorsement, I
10 think, essentially universal, to move forward to
11 create a concise document, which will outline the
12 objectives and deliverables of the Liver Safety
13 Research Consortium. And we will be putting that
14 together. The idea was to start small and direct
15 because there are many areas this could go into.

16 And the first would be precompetitive
17 analysis of comparator eDISH data, getting what the
18 disease diagnosis was of the population and
19 inclusion and exclusion criteria. Establish
20 guidelines for biospecimen collection and storage
21 and linkage to appropriate phenotypic data. And

1 organize think tanks to prioritize topics for liver
2 safety assessment for white papers to work towards,
3 including DILI and chronic liver disease,
4 oncology, other special situations, pediatrics,
5 but not to have them in the initial mandate going
6 forward.

7 I know John has a couple comments to make but
8 that is where we stand. Again, the plan is we all
9 come up with a two-or-three-page document.
10 Everyone attending this meeting is going to get it.
11 You will also get my complete slides that I showed
12 last night. And we will begin the dialogue of
13 moving forward with this and see what sort of
14 cooperation we get.

15 I think our partnership with the Cardiac
16 Research Safety Consortium and the individuals
17 from those companies already involved will be
18 helpful and we will pursue that. John.

19 DR. SENIOR: Paul, thank you so much. I
20 think a point of caution. You mentioned CDISC
21 which was a good standardization idea but if we

1 exclude other data than CDISC, we may miss some very
2 important information. When a patient gets sick at
3 a study site, often the investigator will use the
4 local or hospital lab to get data for following the
5 patient and immediately find out what is going on.
6 If those data are excluded because they don't meet
7 CDISC standards, we may miss the boat.

8 Currently, the requirement for submitting
9 eDISH data is that the sponsors send us **all** the
10 data, not just that in CDISC format, not just the
11 standard lab data, but **all** the data, including the
12 local labs. We heard yesterday that local labs may
13 have different upper limits of normal and all of
14 that. We can't worry about that. Let's look at
15 the data, whether they are standardized or not. We
16 cannot afford the delays of waiting for
17 standardized results.

18 Next, probably one of the most specific
19 biomarkers is the clinical appearance of symptoms,
20 described in clinical narratives. Now, we maybe
21 ought to take a better look at symptoms from the

1 patient and educate physicians, medical students,
2 everybody to be on the lookout for symptoms because
3 they may be very specific. The whole business of
4 routine monitoring is, I think, a failure. Why?
5 We are looking for something that for any given drug
6 is rare; If you do routine monitoring, all you get
7 is normal, normal, normal, normal. And people get
8 very weary of looking at normal results. And it
9 is very expensive. It is very inefficient. It is
10 much better to start with a problem and then zoom
11 in and get the data, not by routine monitoring but
12 for cause investigation.

13 The technique of using the postage stamp
14 device for point-of-care fingerstick ALT estimate
15 may be something that is cheap and available. You
16 heard it described by Nira Pollock yesterday. And
17 it may be an idea whose time has come. You heard
18 from Arthur Karmen from yesterday; he speeded up
19 the measurement of transaminase activity from
20 several days down to five minutes. But, it still
21 takes five minutes after it gets to the lab. So,

1 you draw the blood, you send it off. You don't
2 really know the results until later today or
3 tomorrow. It is too much time lost. It is a very
4 good idea to have an immediate value, even if it
5 is not all that accurate. Even if it is only going
6 to tell you the patients like in the normal bucket,
7 or the intermediate bucket, or the high bucket.
8 That is close enough to start looking closely, to
9 start for-cause monitoring.

10 I also want to say something about Duke, which
11 Paul mentioned as the site for the cardiac safety
12 consortium. It just so happens that Duke has come
13 to the FDA. I am speaking about Dr. Robert Califf.
14 He is now the Deputy Commissioner, a pretty high
15 position, Deputy Commissioner. He is in charge of
16 CDER; in charge of CBER; in charge of CDRH, and
17 in addition he has tobacco to worry about. But he
18 has a lot of power and he is already on the team.
19 So, he has come to the FDA, just started a couple
20 of weeks ago.

1 DR. CZAJA: Yes and he was, I think, the key
2 individual that got the idea to bring the Cardiac
3 Research Safety Consortium to life and seat it at
4 Duke.

5 DR. SENIOR: He is a world leader in clinical
6 trials and I don't know what he hopes to accomplish
7 at the FDA but I think he has big ideas.

8 DR. CZAJA: He is also on the Advisory Board
9 of our Institute, by the way.

10 DR. SENIOR: Right. And maybe Paul can set
11 forth his proposal this on two pages, but he should
12 give himself a little room, maybe three or four.

13 DR. CZAJA: A little more room. Okay, Anna,
14 I don't know if you can one-up John, but do you have
15 something quick to say? Because we have to go to
16 lunch.

17 DR. SZARFMAN: Can you hear me?

18 DR. CZAJA: Yes, perfectly.

19 DR. SZARFMAN: Yes, I work with clinical
20 trial data of spontaneous reports, et cetera. There
21 is another issue that we need to discuss. I am a

1 board certified clinical pathologist. I talk with
2 people that run central labs and they generate the
3 most accurate results because otherwise they would
4 not be accredited. The problem is that the data in
5 practice is being transformed into 800 formats.
6 Then we receive the data, and I transform the data
7 in about 2800 different formats. And we hear in
8 observational studies a few weeks ago that there
9 are 50 different formats for -- there was a
10 statement that the data is being transformed by
11 statisticians that have not been -- If there is a
12 way of improving the quality, maybe by directly
13 accessing the data generated from the best machines
14 and avoid doing manual transformation and this
15 procedure will improve the quality of the data we
16 get.

17 The second thing that has happened, because
18 the computers that are connected to the instruments
19 in central labs and local labs, they can be
20 programmed to generate --

1 DR. WATKINS: Just one thing, Anna, and then
2 we can continue this offline. But I think right
3 now the focus of the Liver Safety Research
4 Consortium would be on clinical trials in a drug
5 development setting. It was brought up last night,
6 you know post-marketing, et cetera but I think the
7 initial focus will just be on Phase I through III
8 clinical trials.

9 DR. SZARFMAN: I am talking about clinical
10 trial data.

11 DR. WATKINS: Okay. All right, so let me close
12 this session and break for lunch, but I would just
13 like to give a round of applause for John, who is
14 just incredible. (Applause) Please be back here
15 at 1 o'clock for the afternoon session. I hope
16 everybody will attend. 12:07 pm

17 Lunch break

18

19 **Session IVA** 1:03 pm

20 **Moderators - Paul Watkins and Gyongyi Szabo**

1 DR. WATKINS: Welcome to the afternoon
2 session. My co-chair is Gyongyi Szabo, who is
3 going to be our first speaker. I will introduce
4 the speakers in the first half and she will
5 introduce the speakers in the second half.

6 And so, without further ado, Gyongyi Szabo is
7 the vice-chair of research in the Department of
8 Medicine, a Professor in the Department of
9 Medicine, and also Associate Dean for Clinical and
10 Translational Research in the school of Medicine
11 and Director of the MD-PhD program at University
12 of Massachusetts. She is also the current
13 president of the American Association for the Study
14 of liver Diseases. And you can see why I told her
15 husband recently that I am a fan of hers and, of
16 course, he said he was, too. And by the way, all
17 that stuff is besides being an international leader
18 in research into molecular mechanisms underlying
19 a variety of liver diseases. So, here she is
20 talking about microRNA-122 uses and applications.

21

1 **Szabo photo, biosketch, abstract**

2 **GS#1:** Thank you, Paul. Thank you for the
3 nice introduction. I would like to congratulate
4 Dr. Senior and thank him for the invitation to give
5 me the opportunity to talk about this today.

6 **GS#2:** A few years ago, I became interested in
7 microRNAs mostly because, as you all well know and
8 talked about during this conference, we have very
9 poor markers of liver injury in our armamentarium.
10 Currently and for many, many years, use of
11 transaminases certainly gave some information for
12 us in clinical practice but have very severe
13 limitations. They are not specific. They really
14 don't correlate well with the progression of liver
15 disease, cannot distinguish between inflammation
16 and liver injury, inflammation or fibrosis, and,
17 certainly cannot distinguish between drug-induced
18 liver injury and other type of liver injuries. So
19 there is clearly a need for more specific and stable
20 biomarkers. And I do like to hear that that work

1 is being undertaken in new biomarker discoveries
2 for liver disease.

3 **GS#3:** So, one of the potential targets and
4 candidates for biomarkers could be potentially
5 microRNAs for several reason. The microRNAs
6 regulate various genes and they also are found in
7 a very stable form in cell-free body fluids,
8 including the serum and some of the microRNAs
9 actually are packaged into small vesicles, either
10 exosomes or microvesicles, or apoptotic bodies and
11 can be found in the circulation. Therefore, all of
12 these characteristics make them attractive new
13 non-invasive biomarkers.

14 **GS#4:** For hepatologists, microRNA-122 is
15 particularly exciting because very uniquely this
16 particular microRNA represents about 80 percent of
17 the entire microRNA pool in hepatocytes. Now, if
18 you consider that there are more than a thousand
19 different type of microRNAs, it is pretty
20 remarkable to have one in that high kind of
21 propensity in liver cells. But it turns out that

1 microRNA-122 regulates various mechanisms
2 including cholesterol biosynthesis and it has been
3 identified as major host factor in hep C viral
4 replication. And I am not going to talk about that
5 part today.

6 But interestingly, there has been a lot of
7 attention to microRNA-122 changes in liver
8 diseases, particularly in the circulation, in the
9 plasma and serum compartment. And various studies
10 demonstrated that in drug-induced liver injury
11 there is increase in the serum levels of
12 microRNA-122. It has been shown to increase in
13 chronic hepatitis C infection and also in
14 non-alcoholic fatty-liver disease and in
15 hepatocellular carcinoma. So, it certainly marks
16 at the same time that this is possibly and very
17 likely not going to be a specific marker but
18 certainly deserves additional attention.

19 **GS#5:** If one looks at, for example,
20 acetaminophen-induced drug liver injury, in a
21 mouse model, what we find is that on the left

1 various time points and changes in ALT levels in
2 mice. And, as one would expect, a few hours after
3 a sublethal dose of acetaminophen, ALT levels
4 increased. But at the same time, if you look at
5 microRNA-122 levels in the same plasma specimens,
6 then it appears that at one hour, microRNA-122
7 shows a significant increase at the point when ALT
8 hasn't changed yet, suggesting that potentially
9 the timing and the sensitivity of this marker could
10 be a little more sensitive than ALT.

11 **GS#6:** Also in a different study in a rat
12 model, in a fulminant hepatitis model of Wilson's
13 disease, investigators found that kind of the
14 similar phenomenon that on the top panels you see
15 on the left, the microRNA-122 increase that is at
16 week ten is already significantly increased when
17 AST is still normal. And at a later time, again,
18 the ALT and bilirubin changes show differences but
19 really, the microRNA-122 shows up and increases
20 earlier on, suggesting that this could be an early
21 marker.

1 **GS#7:** Definitely changes in the serum
2 microRNA-122 levels in various model of liver
3 injury appear to correlate with ALT. So, on the
4 left of upper part is an alcoholic liver disease
5 model in mice; in the middle,
6 acetaminophine-induced liver injury; and on the
7 right is an infectious and inflammatory model in
8 mice that is an autoimmune disease induced by the
9 CpG, DNA and LPS administration.

10 The extent of the increases and even the
11 magnitude of microRNA-122 changes are different
12 between the different models. And the highest
13 kind of level both in ALT and miR-122 were found
14 in the APAP model, where there is the largest extent
15 of hepatocyte damage.

16 In chronic hepCV infection in humans, we also
17 found that there is a linear correlation between
18 ALT changes and microRNA-122 in the circle they
19 think of plasma in patients.

20 **GS#8:** So, moving on to a different kind of
21 model, actually we were interested in the role of

1 microRNA-122 in the non-alcoholic fatty liver
2 disease. And here, again, if you use a mouse model
3 of methionine-deficient diet or a control diet,
4 what we find is that over time between one to eight
5 weeks of administration of this diet that induces
6 massive steatohepatitis and actually fibrosis by
7 week eight, we find that increasing the serum
8 microRNA-122 but, interestingly, the correlating
9 levels of liver microRNA-122 actually were
10 decreased. So, that really was intriguing to us and
11 made us question the potential role of microRNA-122
12 in the liver. So, microRNAs are included by DNA
13 and, essentially, in the biosynthesis there is a
14 pre-microRNA-122 form. And that essentially
15 indicates the formation of new microRNA-122. And
16 interestingly what we found was that this
17 pre-microRNA-122 was severely reduced, compared to
18 normal animals in the mice with steatohepatitis.
19 And one of the factors that actually drive the
20 promote the region of microRNA-122 have an HNF6
21 side, which essentially is one of the promoters and

1 inducers for microRNA-122. Interestingly, we
2 found that that was reduced also, suggesting that
3 there is a transcription regulation of
4 microRNA-122 in this model of non-alcoholic
5 fatty-liver disease, leading to the lower levels
6 in the liver. In addition to the regulation of
7 cholesterol synthesis, relatively little is known
8 about the role of microRNA-122 in hepatocytes liver
9 diseases. So, various studies show that there is
10 new 122 expression human NASH in the liver.

11 And then it has also been recognized that if
12 you look at gene sequences, we found that there are
13 potential putative targets of microRNA-122 that
14 included the MAP3K3 kinase and the hypoxia
15 inducible factor 1 alpha, HIF-1a. And it is also
16 known that HIF-1a actually contributes to the
17 steatosis and actually regulates steatosis in
18 alcohol-induced liver disease but also in other
19 conditions and it has been implicated in NASH.

20 **GS#9:** And another kind of known background is
21 that the MAP3K3 actually regulates NFkB in cell

1 survival and tissue remodeling processes. So,
2 these potential correlations led us to the
3 hypotheses that potentially the decreased level of
4 microRNA-122 in the liver in NASH could have some
5 specific pathogenic roles.

6 So, to explore this, we started at evaluating
7 the MAP3K3 kinase and we found that at the messenger
8 level it was increased in the non-alcoholic
9 fatty-liver disease model. And it was increased
10 at the protein level not only in the total liver
11 but also in isolated hepatocytes. Now, I showed
12 that potentially these MAP3K3 kinase is a target
13 of microRNA-122 regulation. And so that question
14 be used an inhibitor of microRNA-122 in isolated
15 hepatocytes. And then we found that if, indeed,
16 we inhibit microRNA-122, then the levels of the
17 MAP3K3 actually increased. I probably should
18 clarify that there is actually most of the
19 microRNAs act in a way that they inhibit the target
20 messenger RNA. So, in this case, if microRNA-122
21 is reduced, that means that the inhibition of the

1 MAP3K3 is really meaning that then it is expected
2 that by limiting microRNA-122 we actually find the
3 metric K3 kinase RNA being increased. That suggests
4 that microRNA-122 targets the MAP3K3 kinase.

5 **GS#10:** Now, bouncing from this MAP3K3 is NF
6 kappa B, which is another major regulator of
7 inflammation. And in it, we find that in the MCD
8 diet-induced model, in the liver there is a massive
9 induction of NF kappa B and this also is seen in
10 the nuclear binding level in the total level but
11 also in hepatocytes and that is on the top right
12 side. And if we inhibit the MAP3K kinase, then we
13 can actually attenuate and NF kappa B activation,
14 suggesting that, indeed, there is a causal kind of
15 relationship between these various kinases and
16 regulatory factors.

17 **GS#11:** The other potential target for
18 microRNA-122, as I told you earlier, is HIF-1, the
19 hypoxia inducible factor 1. And this is
20 interesting and potentially clinically relevant
21 because those of you who treat and see patients with

1 non-alcoholic fatty-liver disease, many of them
2 actually have sleep apnea. So, hypoxia is
3 happening at the macroscopic or physiological
4 level. But there is also a lot of speculation that
5 even at the liver tissue level, hypoxia could,
6 potentially play a role.

7 What they find is an upregulation of hypoxia
8 inducible factor of 1 at the RNA level and on the
9 right top side, you can see that there is an
10 increase in the activity of HIF-1 because this is
11 a nuclear regulatory factor and there is increased
12 DNA binding of HIF-1 in the steatohepatitis model.
13 Now, HIF-1 regulates various process and one of the
14 targets of the HIF-1 is lysil oxydase that plays
15 a role in fibroids and tissue remodeling and
16 vimentin is another one that also is in tissue
17 remodeling and the transformation.

18 And as you can see, both the RNA levels of
19 vimentin and also the immunohistology staining
20 suggests that the protein levels are increased in
21 mice with steatohepatitis compared to controls. To

1 come back and show the causal relationship here,
2 we used an anti-microRNA-122 SINRA transected to
3 hepatocytes in the left upper corner you can see
4 that the HIF-1a levels actually are increased when
5 we inhibit microRNA-122. Therefore, essentially
6 leaving the repression effect of microRNA-122 on
7 the HIF-1. And on the right-hand side, you can see
8 that the same things happens at the biological
9 activity in the nuclear binding.

10 **GS#12:** And the same thing happens in
11 hepatocytes on vimentin, if we inhibit
12 microRNA-122, then the SRNI against microRNA-122
13 and not the control increased the vimentin levels
14 at hepatocytes. That kind of left us with the
15 conclusion that microRNA-122 in non-alcoholic
16 steatohepatitis has multiple roles. First of all,
17 it appears that there is a reduction at the
18 transcriptional level by reducing the
19 pri-microRNA-122 levels, most likely through HNF6
20 and potentially other mechanisms. And this leads
21 a reduction in the mature microRNA-122 in the

1 liver. But at the same time, there are some
2 mechanisms that are not very well known but
3 certainly result in increased levels of serum
4 microRNA-122 so that kind of contributes to this
5 consistent dichotomy. It appears that in the liver
6 the microRNA-122 actually has, in addition to
7 cholesterol metabolism appears to regulate HIF-1
8 alpha and the MAP3K3 kinase and those processes can
9 contribute to inflammation, fibrosis remodeling
10 and certainly the circulating microRNA-122
11 potentially could be at least one of the biomarkers
12 indicating liver damage.

13 **GS#13:** What I wanted to come back to is that
14 the microRNAs in the serum are often actually
15 packaged in exosomes. And as exosomes are small
16 extracellular membranes vesicles on the size of 50
17 to 100 nanometer in diameter that are produced by
18 most cell types.

19 **GS#14:** They are found in the extracellular
20 space and various biological fluids, not only
21 serum, saliva, and in all kinds of other biological

1 fluids. They contain various nucleic acids and
2 proteins and among those are microRNAs. There is
3 increasing evidence that these exosomes actually
4 can function as kind of messengers between cells
5 and potentially may get to various organs and could
6 be having a beneficial and harmful pathological
7 effect. Certainly hepatocytes are one of the
8 sources of exosomes that can also be targets.

9 **GS#15:** And indeed, there are various recent
10 publications that indicate that exosomes could be
11 considered as like biomarkers of liver disease.
12 So, for example, in various types of liver injury,
13 the presence of an increase in exosomes have been
14 noted in various biological fluids, as described
15 here. Many of those microRNAs actually did contain
16 microRNAs as well. That is certainly of interest.

17 **GS#16:** So, we ask the question if exosomes
18 serve as therapeutic vehicles and could
19 potentially these actually have some function and
20 effect. And the way we approached this was that
21 we took a B cell line. So, there are B cells that

1 produce large amount of exosomes after stimulation
2 at IL-4 and CD40. And then we took those exosomes
3 and isolate them. Now one of the characteristics
4 of exosomes is expression of CD63 that allows the
5 purification of these exosome compartments.

6 **GS#17:** And then we used those exosomes and
7 either loaded them with various microRNAs. Or
8 particularly for microRNA-155. That was the kind
9 of system that we used or we used an inhibitor of
10 micrRNA-155 and these kind of modified exosomes
11 were then tested for functional activity.

12 **GS#18:** We tested them by delivering this
13 microRNA-155 inhibitors to macrophages and that
14 was because normally microRNA-155 actually can
15 regulate inflammation or they tried to deliver a
16 precursor of the microRNA-155 into hepatocytes and
17 this was two hepatocytes were chosen as targets
18 because typically hepatocytes microRNA-155
19 expression is very low.

20 So, what we found was that if took
21 macrophages and stimulated them with LPS and that

1 is the first two bars on the left compared to the
2 one very much to the left, no treatment. Then LPS
3 stimulation induces a lot of microRNA-155 in this
4 side. And on the right-hand side in that kind of
5 graph, you can see that this goes along with an
6 increase in TNF production.

7 And now if you look at the last two bars in
8 each of these panels, it shows that if we use a
9 control inhibitor-loaded exosome, nothing really
10 happens. But if we put a microRNA-155 inhibitor
11 into the exosomes and put these exosomes on the
12 macrophage in the presence of LPS, then actually
13 we can inhibit TNF production.

14 **GS#18:** And that suggests that, indeed, these
15 exosomes could be actually vehicles to bring on to
16 us a type of modulation. In this particular case
17 if this was an inhibitor, again with the
18 microRNA-155 and this inhibitor actually was
19 biologically active. I don't have enough time to
20 go into details but it was shown as in our
21 publication that actually what they find is that

1 the exosome-mediated delivery of these inhibitors
2 is more efficient than just doing a regular
3 transfection inside with an inhibitor, which I
4 think is very intriguing and certainly brings a
5 little more attention to the exosomes in this
6 system. The opposite side of this is that we
7 actually made exosomes and then and loaded them
8 with microRNA-155 precursor, essentially to see
9 what was the effect of these exosomes on
10 hepatocytes that normally don't express much
11 microRNA-155. We injected these loaded
12 microRNA-155-loaded exosomes into mice and then we
13 evaluated the liver and also isolated hepatocytes
14 for the expression of microRNA-155. And these
15 were mice that were microRNA-155 deficient. So,
16 normally they didn't have natural microRNA-155. By
17 giving these exosomes loaded with miR-155, we found
18 that we couldn't detect the miR-155 in the liver
19 of these knockout mice. And if you isolated
20 hepatocytes that the miR-155 actually was found in
21 hepatocytes, suggesting that, indeed, again, these

1 exosomes are capable in vivo to deliver these
2 either inhibitor or a precursor for macroRNA into
3 the liver and into hepatocytes.

4 **GS#19:** To summarize, I want to leave you with
5 the idea that there is evidence that exosomes
6 actually could be therapeutic vehicles. It could
7 be that depending on, so on the left side with the
8 black kind of RNA and microRNA, that is an
9 inhibitor. And if we put that into an exosome,
10 then actually that has an effect on macrophages to
11 potentially inhibit the microRNA-155 activity and
12 the contrary of this, if we take the exosomes and
13 put the precursor on it with the blue kind of
14 microRNA marking, then that potentially can
15 deliver a functional microRNA to tissues in mice
16 and particularly to hepatocytes. That suggests
17 that certainly exosomes are a new and exciting area
18 from the standpoint of cell-to-cell communication
19 or potentially, organ-t- organ communication. They
20 also potentially deserve to be evaluated as
21 therapeutic vehicles.

1 **GS#20:** And I want to thank our funding agency
2 and my colleagues who contributed to this work.
3 Thank you. (Applause)
4
5

1 **Discussion Session IVA-1**

2 DR. WATKINS: That's great. We have time
3 for some questions. I have just one question
4 starting off: miR-122 is more sensitive early on
5 in acetaminophen injury. And I think there are
6 some data that they might be actively eliminated
7 from cells before they die, suggesting that this
8 might be an adaptive response for the cell to get
9 rid of miR-122. And if I were smart enough, I could
10 have figured out a mechanism in what you said why
11 that might be adaptive. A hepatocyte is being
12 challenged by a toxin. Why might it want to
13 dramatically reduce its content of miR-122? Would
14 you understand that?

15 DR. SZABO: I do understand your point, and
16 I think it is a very interesting one. I'm not sure
17 that I actually thought about it that way but I
18 certainly think that is a consideration. To think
19 about that you know maybe when the study is damaged
20 then having all this microRNA-122 is not good

1 anymore and then it is a definite mechanism to kind
2 of get rid of it by filling out of the circulation.
3 I think the way I would approach this question and
4 I cannot answer -- I am not aware of any data that
5 would support or kind of disregard the aspect that
6 you are bringing on. But another consideration is
7 that could that be the injured hepatocyte is trying
8 to send out some message to some other cells or
9 non-injured hepatocytes or to any other organs in
10 forms of by releasing these microRNAs. I think that
11 is the question that we were mostly interested in.
12 And in fact there is a difference for that for
13 example, this is data that is under consideration
14 for publication that for example if you put the
15 alcohol on hepatocytes or in vivo in alcohol liver
16 disease, we find that there is an increase in the
17 circulating number of exosomes and these exosomes
18 actually contain microRNA-122. And that appears
19 that actually can regulate the function of
20 monocytes and macrofages. And normally monocytes
21 and macrofages, microRNA-122 can be very

1 detectable. So, I think that is kind of a
2 fascinating possibility that maybe these damaged
3 hepatocytes use the exosomes to actually alert
4 other cells or modify functions.

5 DR. WATKINS: Will Proctor.

6 DR. PROCTOR: Yes, Will Proctor from
7 Genentech. Great talk. I have two quick
8 questions. In your NASH model, have you done work
9 to really show they are in exosomes or are they in
10 protein complexes? And there is some discrepancy
11 in the literature that maybe R-122 is predominately
12 in the protein complex form versus vesicular form
13 or exosome form.

14 And then my second question is more of a
15 practical application. In terms of standardization
16 and normalization for circulating microRNAs, where
17 we do a lot of work in preclinical inbred strains,
18 where we are treating controls with a toxin in our
19 disease state and then we know there is a larger
20 spread, potentially, in humans and there is no
21 consensus on disease state age and what controls

1 we should use, besides maybe an exogenous spike in
2 volume put into the RNA traction.

3 So, just those are the two points that maybe you
4 could address.

5 DR. SZABO: Right. From the NASH work, I
6 think we haven't used the immunosuppression agent
7 to look at if the microRNA-122 was in complex with
8 argo 2. We just published a study in *Hepatology* that
9 was evaluating similar questions in hepatitis C
10 infection. And what we found was that exosomes
11 that are produced by hep C infected hepatocytes,
12 we find that that there is double-stranded or
13 single-stranded RNA in these complexes. Those
14 actually are ready to infect the named hepatocyte,
15 even if you just use exosomes. But we haven't looked
16 at NASH.

17 In terms of standardization of exosomes, that
18 is a very valid question and there are a lot of
19 meetings going on. For example, there is the NIH
20 Extracellular RNA Consortium that was initiated
21 about I think one and a half or two years ago now.

1 And one of the working groups in that consortium
2 is evaluating this very question. In fact, there
3 is a big meeting on International Extracellular
4 Vesicle meeting that is going to happen in a few
5 weeks here in Washington, D.C. I don't know if
6 these are being evaluated.

7 DR. WATKINS: You can go next and then
8 Elliott.

9 PARTICIPANT: Yes. Exosome when you have
10 the microRNA inside of them, how are you going to
11 be sure that they are going to go the liver? They
12 could be going to other organs. And miR-155 has
13 many leukocytes, so it may be affecting in all one
14 place. The other thing is microRNA can hit, you can
15 have five, six, seven microRNAs in the same spot.
16 So, if you deplete one, what are the consequences
17 on the other microRNA composition for the same side
18 that are balancing?

19 DR. SZABO: These are very good questions.
20 We did a study where we took microRNA-155
21 containing serum and exosomes and put it into

1 miR-155 knockout mice, and then evaluated the
2 expression of miR-155 in various tissues. After IV
3 injection, the liver had the highest amount of
4 microRNA-155 with very detectable levels in some
5 of the tissues as well. So, your point is very well
6 taken. It is not only going to deliver, obviously.
7 In terms of the cross-regulation of the various
8 microRNAs it is a very valid question. I think
9 that a beauty of the microRNAs, when one looks at
10 them as a therapeutic target, that microRNAs by
11 immune microRNA are never going to have the kind
12 of total inhibition of any of the target genes,
13 which I think in many cases could be an advantage,
14 but it will depend on what you target. And in terms
15 of compensatory microRNA changes, certainly, that
16 is a possibility.

17 DR. WATKINS: Elliot.

18 DR. NORRY: This question is from a drug
19 development standpoint. I am wondering if putting
20 the logistics of availability of the tasks and
21 standardization of results, do you think that we

1 are at the point where, for diseases like myositis
2 or muscular dystrophy, ALT is really not a reliable
3 measure of liver injury, in that it is affected by
4 the disease itself. Are we at the point where
5 miR-122 could be used as a surrogate measure of
6 liver injury?

7 DR. SZABO: Well, I think that is a very good
8 point, although I am not an expert in skeletal
9 muscle or any of this. But I think it is a relatively
10 easy experiment to do that. I mean in the baseline
11 expression of microRNA-122 is much lower in any
12 other time. So, theoretically I think that that
13 could be a very good marker to distinguish between
14 liver injury versus some other source of particular
15 increase in AST.

16 DR. NORRY: Thanks.

17 DR. WATKINS: Jim Freston, last question.

18 DR. FRESTON: To extend that question, there
19 are conditions where Kupffer cells are jammed,
20 hemolytic conditions, anti-parasitic drugs, in
21 which with the saturation of the Kupffer cells, the

1 elimination of half-life of the transaminases is
2 prolonged and so it may cause a false elevation of
3 transaminases that looks like liver injury. Could
4 microRNA-122 be used in that circumstance to
5 exonerate liver injury?

6 DR. SZABO: That is a really interesting
7 concept that I must admit I never thought about.

8 DR. FRESTON: And phospholipidosis
9 is another example.

10 DR. SZABO: Right. I don't think that the
11 levels of microRNA-122, at least to my knowledge,
12 I am not aware of publication that would have looked
13 at miR-122 in the circulation in those kind of
14 conditions in comparison to transaminases. I think
15 the role or the effect of microRNA-122 on Kupffer
16 cells is not known. So, I think what you are
17 proposing is that could that potentially modulate
18 Kupffer cell functions. And at this point, I don't
19 think that anyone looked at that.

20 DR. FRESTON: Thank you.

1 DR. WATKINS: Right. And you know there are
2 new technologies now that are able to profile
3 microRNAs, including a one company now that has the
4 ability to do 63 together and is charging \$125 a
5 sample. I won't give an advertisement. So, the
6 technology is ramping up very quickly in this area.

7 Okay, our next speaker is Paul Hayashi.
8 Everybody calls him Skip. He is an associate
9 professor of medicine. At the University of North
10 Carolina, he is a hepatologist. He has also been
11 a critical worker in the Drug-Induced Liver Injury
12 Network in our almost 40 publications. If you
13 track over time, Skip has moved up the author list
14 right up to the front. And I have sort of drifted
15 back. I think we passed about a year and a half
16 ago in the thing. He is going to talk to us about
17 one application of the incredible DILIN database
18 that has some regulatory implications. Where am
19 I here? Oh, yes, DILIN experience with Hy's Law
20 in patients with preexisting liver disease. Skip.
21

1 **Hayashi photo, biosketch, abstract**

2 **PH#1:** Thank you very much. First of all, I
3 thank John Senior for inviting me and Paul, of
4 course. I have no financial disclosures. I will
5 disclose that, as Paul said, I am a clinician. So,
6 I am not well versed in the ways of the FDA or
7 industry but I am learning a lot. If I say
8 something stupid about your field of interest,
9 please step up to the mike and publicly humiliate
10 me in front of my peers. I will try not to take
11 it personally.

12 Paul and John asked me to talk about this and
13 it was really an exciting question and I realized
14 there are absolutely no data in this area. So,
15 that is good and bad. It means that the background
16 is bad and there is not much to say in terms of
17 background but I will do the best I can.

18 **PH#2:** This is the outline. I will be talking
19 about Hy's Law and backtracking just a little bit,
20 with a few slides about making sure we all have it
21 right and we know what we are talking about in

1 regard to Hy's Law and its derivations. I'll talk
2 a little bit about the track record, which has been
3 alluded to here quite a bit in the past two days.
4 And then quickly go into sort of chronic liver
5 disease outcomes in relations to Hy's Law and in
6 the DILIN experience. And then lastly, let us look
7 at the new data that we just started putting
8 together in the last several months. It is very
9 preliminary but it will be getting right at the
10 question that I have been asked to address: Hy's
11 Law in chronic liver disease within DILIN.

12 **PH#3:** First of all, I thought it was probably
13 appropriate to go back to the man himself, in his
14 last addition of his textbook. And this is what
15 he said: "Drug-induced hepatocellular jaundice
16 is a serious entity. The mortality rate is from
17 10 to 50 percent." We have seen that a lot. On the
18 facing page, there is actually a table that I
19 slimmed it down quite a bit, but he did put
20 parameters on the enzymes. The AST and ALT were 3
21 to 50 times the upper limit of normal for

1 hepatocellular injury, and he did put parameters
2 on the alk phos, which was less than one to three
3 times the upper limit of normal. You notice that
4 he did not put any parameters on jaundice. It was
5 a clinical call there.

6 **PH#4:** This is Hy's Law according to the FDA
7 and this is lifted straight from their guidance for
8 industry.

9 **PH#5:** So the AST and ALT are again, greater
10 than three times, bilirubin there they did put a
11 hard stop parameter of two times the upper limit
12 of normal but they did not with alk phos.

13 **PH#6:** Basically they just say initial
14 findings of cholestasis elevated serum alk
15 phosphase and no further guidance there. And then
16 there is obviously no reason for other liver
17 biochemistries to get at causality here.

18 **PH#7:** So, these are Hy's Law's other
19 derivations. This is the top one which is our
20 DILIN group and this is what we have used when we
21 looked at this. Again, the ALT and bilirubin look

1 very familiar. We do put a hard stop at alk phos
2 less than two times the upper limit of normal. I
3 also put up the Spanish and South American DILI
4 Registry. They used a little bit different in two
5 things. This is their most recent paper which I
6 am sure some of the authors are out there and this
7 was published last year. ALT and bilirubin are,
8 again, the same. But they either used excluding
9 other cholestatic causes but then they also used
10 a new derivation which is incorporating the
11 R-value. And here what they did was they took the
12 AST or ALT, whichever was higher, and they put it
13 times the upper limit of normal divided by the alk
14 phos times the upper limit of normal and it had to
15 be greater than five. And so they make the argument
16 the alk phos sets a stand alone could probably be
17 done away with and if you could just use the
18 R-value. And their performance, at least in their
19 study was better. Their RC curves were better for
20 this, as opposed to a straightforward Hy's Law.

1 **PH#8:** So what about the track record in drug
2 trials? This was shown yesterday quite a bit. I
3 won't go into it much. This is bromfenac,
4 troglitazone, and ximelagatran. So, these are
5 sort of triumphs of Hy's Law that seem to pan out
6 for post-marketing for the first two and then,
7 obviously, the first one was not approved but later
8 withdrawn from other markets.

9 **PH#9:** What about in registries? Hy's Law
10 does very well in all the registries, really. This
11 is the DILIN experience, 13.4 percent if you met
12 Hy's Law in a hepatocellular injury, you had a 13.4
13 percent positive predicted value that you were not
14 going to do well. That was mortality as an
15 outcome.

16 Now the Spanish/South American Registry is
17 very similar. Again, I told you they use two
18 different models. They used a straightforward
19 Hy's Law but also this modified one where they used
20 the R-value. And there again it is between 8 and
21 9.6 percent.

1 I do want to point out one nuance here.
2 You know in the DILIN we use mortality. But if you
3 read the paper carefully in the second one, they
4 use mortality but they also use acute liver injury.
5 In other words, bad synthetic dysfunction. And I
6 will come back to that. I think that is important.
7 You know, what are we defining as a bad outcome?
8 It is a little different between the two.

9 And then the Swedish Adverse Drug Reactions,
10 Dr. Bjornsson's registry out there. It shows you
11 the number. This is how Hy's Law is panning out.
12 It is somewhere around 10 percent, give or take a
13 couple percentage points.

14 **PH#10:** A word about chronic liver disease in
15 DILI. Again, going back to what Dr. Zimmerman
16 said, it is remarkable how much what he said did
17 pan out over time. He said that there as a stubborn
18 misconception that susceptibility was higher in
19 patients with chronic liver disease. And he also
20 said that addition to DILI to chronic liver disease

1 would be troublesome. I get the feeling that is
2 the general feeling across the field.

3 There are some data to support it. For
4 example, the statin data suggest no increase in
5 susceptibility, but on the other hand, there are
6 some data that suggests that maybe it is a problem,
7 for example in TB. When you monitor for TB, it is
8 different. If you have chronic liver disease, you
9 are monitoring ALTs. Or if you don't you are just
10 going on symptoms.

11 Before I go into some of the newer data that
12 we have in relation to Hy's Law in our chronic liver
13 disease patients, it is good to review what we do
14 in DILIN and what comes out adjudication.
15 Basically, three of our members get together and
16 independently score these cases and then come to
17 consensus. This is the scoring system. I just want
18 to go over it real quickly again. One is definite,
19 greater than 95 percent likelihood beyond
20 reasonable doubt that this is DILI. Two, highly
21 likely, 75 to 95 percent, and probable 50 to 74

1 percent, based on the legal language in a court of
2 law. So, basically, what we would say is that
3 three or better would be enough to convict here.
4 I highlight those because the rest of this data,
5 just keep in mind, will be only dealing with cases
6 that met those scores.

7 **PH#11:** I will just talk a little bit about some
8 backdrop data. This is the idiosyncratic DILIN
9 experience within the first six months, morbidity
10 and mortality. And I just want to give you an idea.
11 We do have a measurable rate of bad outcomes. And
12 these were 660 DILI cases, a six-month follow-up.
13 We have the survival curves based on three
14 different groups. Basically, liver transplant is
15 the worst group, the solid black line. And we did
16 a fair number fairly early on. Liver-related
17 death is the next line, And then
18 non-liver-related death is the line that lingers
19 out a little bit longer. And I suspect those are
20 a lot of the cancer patients.

1 **PH#12:** Within this study, there are some hints
2 that Hy's Law is still a player or helpful. We
3 didn't break it out in this paper, as I will in a
4 minute. But basically, preexisting liver disease
5 was more common in those who had a death or
6 transplant outcome. As you can see, 24 percent
7 versus 11 percent. And if you restricted it just
8 to liver-related death or transplant, again, it was
9 statistically significantly higher for those with
10 preexisting liver disease.

11 Again, making Dr. Zimmerman's comment that it
12 would be troublesome seem to be somewhat true here.
13 Now, Hy's Law was also more common in those with
14 death or transplant outcome. Again, 46 to 26
15 percent and if you just restricted it to
16 liver-related death or transplant, it was 53 to 26
17 percent, both statistically significant.

18 Now, when we looked at it as a multivariate
19 model, both chronic liver disease and Hy's Law fell
20 out of the multivariate model but I have to say
21 there is a lot of collinearity here. Because you

1 can see for Hy's Law, for example, ALT and bilirubin
2 both stayed in the model. And for chronic liver
3 disease, low platelets and low albumin both stayed
4 in the model. So, I suspect if you took them out,
5 then Hy's Law would slip back in and so would
6 chronic liver disease.

7 **PH#13:** Okay, so this is the preliminary data
8 that we have predicting fatal outcome in Hy's Law.
9 This is a cohort of now 894 patients, again, all
10 definite, highly likely, or probable. And what I
11 did was we looked at two groups, obviously, those
12 with chronic liver disease going into the DILI and
13 those without chronic liver disease. I later will
14 subgroup them as viral hepatitis and, as best as
15 we can tell, NAFLD and unexplained elevated liver
16 biochemistries.

17 **PH#14:** So, in the outcomes, this is where I
18 come back to this. Now, in this analysis, I did
19 do it both ways. Four is just actually you start
20 to show liver failure; you develop ascites,
21 encephalopathy, but you make it; you don't get

1 transplanted. You survive it. Five, of course, is
2 death or transplant. I did a model on both but I
3 am only going to show you the five data.

4 **PH#15:** These are deaths or transplant. And I
5 did it two different ways. All-cause, any time
6 during follow-up. So, 1: you get transplanted
7 anytime or die for no reason. And then 2:
8 liver-related death within six months of
9 transplant within six month.

10 **PH#16:** Demographic, clinical characteristics:
11 it is a busy slide. But I will just highlight the
12 fact that there really was no difference between
13 a non-fatal, fatal, and total, except for age. As
14 you might expect, the fatal group was a little bit
15 older.

16 And then as far as chronic liver disease,
17 individually, there was no real statistical
18 difference. Even Hy's Law did not necessarily
19 meet statistical significance, when we looked at
20 liver-related within six months or liver
21 transplant within six months.

1 **PH#17:** If I expand it a little bit to follow-up
2 at any time, death or liver transplant at any time,
3 then Hy's Law does come back and is statistically
4 significantly higher. So, again, if you got
5 transplanted in month seven, I don't know, then
6 that may be clinically significant or maybe that
7 should be in there as a predictor for Hy's Law.
8 Okay, what I am going to show you next is a series
9 of slides. They are all going to show the same
10 thing as the tables. And I left the tables and
11 numbers in because I think it is important for you
12 know our numbers. They are not huge. They are
13 bigger than whatever is out there. But as you can
14 see, the numbers will whittle down as I go down and
15 the outcomes change a little bit.

16 **PH18:** This is total cohort all-cause
17 mortality. So, again, you could die for any
18 reason, liver transplant at anytime during
19 follow-up. So, just overall, again, comes out to
20 about where Hy's Law would say, about 11 percent,

1 the positive predicted value. And you can look at
2 the numbers there in the two-by-two.

3 **PH#19:** So what about no chronic liver disease?
4 Here about pretty close, similar. Again,
5 all-cause mortality, liver transplant anytime and
6 this is 9.5 percent positive predicted value.

7 **PH#20:** When we restricted it to chronic liver
8 disease patients, this is where we took a big jump.
9 So, this would suggest that Hy's Law is of some
10 worth. Again, all-cause mortality, anytime
11 during follow-up and liver transplant. This was
12 a positive predicted value of 24 percent. Of
13 course, the numbers are smaller. We had a total,
14 in this analysis, we had 79 that had preexisting
15 chronic liver disease. But again, the positive
16 predicted value of the total 25 was 24 percent.

17 **PH#21:** Then I put this as a final summary
18 slide. Again, I wanted to show you the numbers but
19 this is a summary of the last three slides I just
20 showed you. All cohort, all-cause mortality,
21 liver transplant at any time, 11 percent. But then

1 for chronic and non-chronic liver disease, it was
2 9 percent versus 24 percent for chronic liver
3 disease.

4 **PH#22:** So, what about total cohort and
5 liver-related death? So this is, again, this is
6 a little different. We are restricting it on the
7 other end of the scale. We are going to say that
8 it is a death within six months that we feel is
9 liver-related or a liver transplant within six
10 months. And here the positive predicted value
11 goes down a little bit. As I said, you might
12 transplant somebody or have somebody die at six or
13 eight months; they won't be in this outcome.

14 So, here 6.1 percent -- the numbers are pretty
15 big because this is a total cohort -- positive
16 predicted value.

17 **PH#23:** And here it is for no chronic liver
18 disease. We had no fatty-liver, as we know. We
19 had no viral hepatitis. Again, a liver-related
20 outcome within -- bad liver-related outcome within

1 six months. Positive predicted value, again, is
2 down to 5 percent.

3 **PH#24:** And then, of course, what about chronic
4 liver disease patients? Well, it stayed up there.
5 Again, the numbers are small or smaller, I should
6 say. But again, the number was still up to 16
7 percent for a short-term bad liver-related
8 outcome.

9 **PH#25:** So, again, summarizing that. This is,
10 again, a liver-related outcome in a short-term
11 interval. Bad outcome is 6.1 percent for the
12 total, 5 percent for the non-chronic liver disease,
13 and 16 percent for the chronic liver disease.

14 **PH#26:** So, a lot of people would be interested
15 in what about viral hep versus fatty-liver. I did
16 break it out for, again, the six-month outcomes.
17 And it was 15.4 percent positive predicted value
18 for patients with either hep C or hep B.

19 **PH#27:** And then NAFLD or unexplained elevated
20 liver enzymes, the positive predicted value is 8.3
21 percent.

1 **PH#28:** This is the summary, again, for those
2 two groups. As you can see for the biohepatitis
3 group, it is a little bit higher. Well, a fair
4 amount higher but the numbers are even small.

5 **PH#29:** In summary, patients with a fatal
6 outcome in the U.S. DILIN cohort tended to have more
7 baseline chronic liver disease and have more cases
8 fitting Hy's Law. That is in Bob Fontana's paper
9 that came out last year.

10 So, with those with chronic liver disease, so
11 it is Hy's Law has a positive predicted value of
12 24 percent for all-cause anytime fatality or
13 transplant. Hy's Law had a positive predicted
14 value of 16 percent for liver-related deaths or
15 transplant within six months. And both of these
16 positive predicted values were higher compared to
17 those without chronic liver disease.

18 The positive predicted value for viral
19 hepatitis patients may be higher than that, but
20 I caution you that the numbers will get pretty darn
21 small there.

1 **PH#30:** The conclusions from this very
2 preliminary data say that Hy's Law may have a
3 predictive value for fatality or transplant
4 patients with chronic liver disease than those
5 without. Whether or how this translates into
6 overall incidence and risk for acute liver failure
7 in a drug trial using chronic liver disease
8 subjects is unclear, but suggests a continuing role
9 for Hy's Law.

10 Further research should focus on validations
11 of these findings in other cohorts and maybe
12 adjusting Hy's Laws parameters. Because if it is
13 even more predictive, then maybe the parameters
14 need to be dialed in a little differently. The
15 caveats here, this is preliminary data. We were
16 just looking at this data. I have not looked. For
17 example, there is hep B. What does that mean?
18 Were they hep B carriers? Were they active. We
19 have not broken that data out. The hep C, were they
20 treated? Probably not. Most of these were the

1 pre-oral agent era. But again, we haven't broken
2 all that out.

3 And the last thing is this death causality.
4 I will mention that. I think we are looking at some
5 cases and it is another parameter. I have heard
6 a lot about how we have to set standards up but how
7 do you tribute the death to the drug, when you have
8 a liver go down? So, I will give you an example.
9 For example, we have had a case of DRESS. The
10 patient died but at the time of death, the liver
11 was sort of on the mend. Now, is that a
12 liver-related death or not? Things like that are
13 a little more nuanced and we are taking that on to
14 look at it that more closely. And that may change
15 what I have shown here for positive predicted
16 values but I don't think greatly.

17 I want to thank everybody from the DILIN
18 group, and especially Sherry Gu, who is in the upper
19 right-hand corner of the picture. She is our
20 statistician who put all this together for me
21 today. Thank you.

1 **Discussion Session IVA-2**

2 DR. WATKINS: All right, we are a little bit
3 in danger of going over here. Is there a burning
4 question in the audience? One thing I will say is
5 that, obviously, this is an extraordinarily rich
6 dataset. We not only have an ancillary study's
7 process that industry can participate in but we
8 also still have, through the Foundation of the NIH
9 a way for companies to contribute to the DILIN
10 effort and give us money to do further analyses like
11 this. And if you have any questions, you can
12 contact me or Jose. Where is Jose? There he is,
13 over there. I'm sure he would be happy to take your
14 money.

15 Any other thoughts here? Arie, why don't you
16 go to the mike. It is a very interesting issue with
17 viral hepatitis studies and NASH studies where all
18 of a sudden somebody develops ALT greater than
19 three times, bilirubin greater than two times and
20 the party line has been we don't know what to make
21 of that because Hy's Law only applies to healthy

1 livers. This isn't an identical situation because
2 you are not curing things. You are not moving
3 inflammatory cells in and out of the liver, et
4 cetera, but it is a sobering message that, in fact,
5 the significance of a Hy's Law case may not be less
6 than in a healthy liver. In fact, it may be worse.
7 Arie.

8 DR. REGEV: So, to expand on what you started
9 to say, I think there is a potential issue with
10 using Hy's Law in patients with preexisting liver
11 disease, since the problem with the definition of
12 Hy's Law is no other cause for the abnormality in
13 ALT. And this, especially, I think, is important
14 when you try to use it as a predictor in datasets
15 of term development. I think it potentially may
16 create absurd situations. If you use Hy's Law on
17 the UNOUS database transplant list, it will have
18 100 percent success. There is no problem. It is
19 very predictive of mortality in liver transplant.
20 So, I think we should be careful when use Hy's Law

1 in patients that have another reason for the ALT
2 and bilirubin increase.

3 DR. HAYASHI: Sure, and that goes back to
4 causality. And you are absolutely right. The
5 cases, I hope the cases we have in there are
6 reasonably clean for causality. But you are
7 absolutely right.

8 DR. WATKINS: Dr. Kirby has the last
9 question.

10 DR. KIRBY: I may have missed it, but did you
11 provide some information about the severity of
12 liver disease in terms of MELD score?

13 DR. HAYASHI: Well, we do have that data. We
14 haven't crunched that out, as I alluded to. It is
15 sort of a mixed bag of what the chronic hep C
16 patients were doing. My general impression is we
17 didn't have a lot of patients that were having MELD
18 scores and things like that. But yes, we have to
19 look at that.

20
21

1 **Discussion Session IVA-3**

2 DR. WATKINS: Round of applause. (Applause)
3 All right, our next presenter is Tom Urban, who is
4 an assistant professor, joint appointment between
5 our institute and the University of North Carolina,
6 and has really been a leader in the last seven years
7 or so in ferreting out the genetics of
8 susceptibility of drug-induced injury certainly in
9 the DILIN network but also in the first and now the
10 ongoing collaboration with the Severity Adverse
11 Event Consortium. And he is going to give us the
12 latest on what has been found. Tom.

13

14 **Urban photo, biosketch, abstract**

15 **TU#1:** Thanks, Paul, and I want to thank John
16 Senior for giving me the opportunity to talk here
17 today. This is a meeting that has been on my
18 calendar every March for the past five years. In
19 my previous post at Duke University, I had a course
20 that I taught in the spring that basically kept me
21 homebound every March. So, this is actually the

1 first time I have been able to attend, since the
2 last time I talked here. And good timing because
3 we actually have, I think, some new and very
4 exciting data to present that probably would not
5 have been available over the past five years.

6 So, I added a couple of words to the title of
7 the talk, "...in humans." And that is because later
8 in the session we are going to hear from others
9 talking about different types of approaches using
10 animal models or cell culture models of DILI that
11 will complement what we find in humans.

12 **TU#2:** I am going to focus here on what are the
13 susceptibility factors that we can identify in
14 living, breathing patients that have experienced
15 drug-induced liver injury. And I will start by
16 saying that none of what I am about to present would
17 be possible at all without the tireless and
18 educated efforts of a lot of clinician scientists
19 across the U.S. and across the world. We have
20 heard about the Drug-induced Liver Injury Network
21 here in the U.S., sponsored by the NIDDK. In

1 addition, the International DILI Consortium headed
2 up by Ann Daly and Guru Aithal in the UK and lots
3 of contributors, some of whom are here today,
4 putting together these large patient cohorts of
5 DILI cases that really are necessary to do the type
6 of genome-wide work that we like to do.

7 **TU#3:** I think that many of you are familiar
8 with the idea of an genome-wide association study
9 but just to briefly get everybody on the same page,
10 a GWAS, a genome-wide association study, is an
11 attempt to find common genetic variants in the
12 genome that associate with whatever trait of
13 interest you are looking at and typically, these
14 require fairly large sample sizes or fairly large
15 affect sizes or both. And there are a number of
16 examples of successful genome-wide association
17 studies in the field of drug-induced liver injury.
18 I think most famously in 2009 with the publication
19 by Ann Daly and colleagues, showing the very strong
20 association between the HLA-B*5701 and risk for
21 liver injury due to flucloxacillin.

1 We heard a little bit yesterday about
2 lumiracoxib and I will try to talk a little bit
3 about that and how that is kind of a unique example
4 of genetic susceptibility for DILI and
5 amoxicillin, clavulanic acid, and others. And a
6 lot of these studies are only possible, again,
7 because we have been able to put together large
8 cohorts of patients that have had injury due to not
9 just drugs but collections of patients with liver
10 injury due to the same drug.

11 **TU#4:** And so HLA seems to be a sort of common
12 factor that we see associated with risk for DILI
13 with different drugs and often with different HLA
14 risk alleles associated with DILI due to different
15 drugs. And there is some overlap in terms of the
16 risk alleles associated with, for example,
17 amoxicillin, clavulanic acid, and lumiracoxib or
18 between the lapatimib and ximelogatran. But
19 largely, what we see is when we find a new HLA
20 association, it is specific to a particular drug.
21 And the effect the size, the odds ratio associated

1 with carriage of a particular HLA type is often very
2 different between drugs.

3 **TU#5:** What I am going to present today are the
4 results of what we called the Phase 2 Meta-GWAS.
5 This is a collaboration between, as Paul mentioned,
6 the DILIN and the International Serious Adverse
7 Events Consortium and iDILIC, where we were able
8 to put together a cohort of over 1500 patients with
9 drug-induced liver injury due to a variety of
10 drugs. And the first thing that we try to do is
11 say okay, are there any genetic variants in the
12 genome that predisposed to risk for DILI,
13 regardless of what drug the patient took. Are
14 there sort of intrinsic DILI risk factors? And
15 performed the same experiment back in 2012 and the
16 answer was no, we can't find any such common -- any
17 such variants that predisposed to risk across
18 different drugs, different classes of drugs.

19 **TU#6:** Recently, what we found is that in fact
20 there is a particular HLA association that shows
21 a genome-wide significant association with what we

1 called all-cause or omnibus DILI. So, this is
2 after excluding DILI cases due to flucloxacillin
3 and amoxicillin, clavulanic acid, where we know
4 there are HLA risk alleles with very strong
5 effects. Yet, we still see the signal in the HLA
6 region. In particular, HLA-A*3301 seems to show
7 a very strong association with DILI, regardless of
8 drug. And this is an allele that has not
9 previously been associated with drug-induced liver
10 injury nor any drug-related hypersensitivity
11 reaction.

12 Of course, the next obvious thing to do is to
13 ask well, what have we done here. We have pooled
14 a bunch of patients with injury due to lots of
15 different drugs. Might there be one or two or
16 three drugs that are really driving the association
17 and maybe the rest of the cases might actually be
18 diluting that signal? And it turns out, at least
19 for one drug that we are pretty sure about and a
20 couple other drugs where we are less convinced that

1 terbinafine, in particular, does seem to be driving
2 the majority of that association.

3 **TU#7:** If you look at just the 14 cases of DILI
4 due to terbinafine that we have on hand, we see an
5 even stronger association with this HLA-A*3301
6 with an odds ratio now of around 40 compared to
7 around 2.5 for all-cause DILI. So, we have what
8 looks like mostly a drug-specific risk allele, a
9 new HLA risk factor that hadn't previously been
10 associated with any adverse drug reaction.

11 **TU#8:** And then the next question we might want
12 to ask is is it all just terbinafine. So, we found
13 the association when we lumped all of the cases
14 together. We found that terbinafine seemed to be
15 contributing the most to that association but is
16 there still a residual signal once we remove the
17 terbinafine cases. And the answer is yes. So, we
18 see for the same HLA-A*3301 allele, an odds ratio
19 of only around 2.3 but clearly, statistically
20 significant association with any drug.

1 And the question then, one that we haven't
2 answered and that I don't have any slides to support
3 is whether there is some cryptic combination of
4 drugs that might explain that residual
5 association. Is it truly the case that all
6 individuals that carry 3301 are at high risk of
7 DILI, regardless of drug or is it that there are
8 certain drugs where this is a risk factor and we
9 just don't have the power to identify them
10 individually? So, that work is ongoing.

11 **TU#9:** Another exciting result from these
12 recent studies is that we have found some
13 relatively rare HLA types that appear to be risk
14 factors for DILI due to individual drugs. And I
15 will focus mostly on minocycline, where we find
16 that the HLA-B*3502 allele, which has a population
17 frequency of less than one percent is enriched to
18 around eight percent individuals that have
19 experienced DILI due to minocycline. So, the odds
20 ratio there is around 30. We have virtually no
21 doubt that this is a true association. What we

1 don't know is -- well, we don't know the mechanism,
2 clearly. All we have right now is an association.
3 We actually aren't really clear whether it is
4 HLA-B*3502 that is responsible for the
5 association. So, all of the stuff that I talked
6 about with HLA associations actually are based on
7 impugning or estimating HLA carrier status, based
8 on SNP genotype data.

9 **TU#10:** So, we have genotyped patients for
10 common SNPs across the genome, including lots and
11 lots of SNPs in the region around these HLA genes.
12 And then based on what we know from reference
13 populations, where we have both HLA sequence-based
14 types, and SNP genotypes, tried to assign HLA types
15 our cases, based on SNP genotype data. So, that
16 is different from actually sequencing the HLA genes
17 in each of these individuals, which would be the
18 sort of gold standard for HLA typing. So, that is
19 the very next thing that we plan to do is to make
20 ourselves certain that it is, in this example,
21 HLA-B*3502 that is actually enriched in the

1 minocycline cases and not some other HLA type or
2 combination of HLA types.

3 **TU#11:** We heard from Mark Avigan earlier about
4 the HLA genes and their role in drug-induced
5 hypersensitivity reactions. To remind everyone
6 what these genes do, there are basically two
7 classes of HLA genes: Class I which comprise
8 HLA-A, B, and C are expressed on virtually all cell
9 types; and class II genes, the DR, DQ, and DP genes
10 expressed primarily on antigen-presenting cells.
11 In both cases, their role is to present small
12 peptides, usually 9 to 12 amino acid peptides, to
13 T cells for immune recognition. And the thought is
14 that a lot of these associations are probably
15 explained by an inappropriate presentation of a
16 drug peptide complex or the drug itself may change
17 the repertoire of peptides that are presented by
18 these HLA genes. And there has been a lot of really
19 exciting work that has been done recently,
20 primarily Dean Nesbitt at Liverpool and David
21 Ostrov at the University of Florida. And what has

1 been seen for one of the most famous
2 pharmacogenetic associations, the association
3 between HLA-B*5701 and abacavir hypersensitivity
4 reactions is that the drug can enter the binding
5 cleft of the HLA protein so that the part of the
6 HLA molecule that is responsible for presenting
7 antigens for immune recognition by the drug binding
8 in that cleft, that can change the types of
9 self-peptides that are also bound and presented by
10 those HLA proteins. What you have is a system where
11 peptides that previously would not be presented as
12 antigens on the cell surface now become
13 "neoantigens" and, at least for abacavir, that is
14 thought to be the direct mechanism for these
15 immune-mediated hypersensitivity reactions.

16 For drug-induced liver injury we actually
17 don't know how this works and the one example where
18 there has been similar work done, flucloxicillin
19 and the same HLA type, HLA-B*5701, it looks like
20 the mechanism is not the same as what we see for
21 abacavir, that there probably is actually a drug

1 peptide complex that presented. And that is the
2 neoantigen. But if you think about it, what we don't
3 know is how to generalize the information that we
4 have about HLA associations with adverse drug
5 reactions. We have abacavir, B*5701; allopurinol,
6 B*5801; carbamazepine, Stevens-Johnson Syndrome
7 and B*1502. On their own, these are anecdotes, but
8 if you start to collect information across
9 different adverse drug reactions, different HLA
10 types, different drugs, you may be able to
11 construct a model or a set of rules that would tell
12 you, okay, among patients taking drugs with this
13 type of structure that carry this HLA allele, the
14 risk for some kind of immune-mediated adverse event
15 is likely to be higher than others. And I think
16 that is probably the ultimate goal or what
17 hopefully will come out of all of this is a general
18 sort of model for understanding the relationship
19 between HLA and adverse drug reactions.

20 **TU#12:** Beyond HLA, we have also found some
21 interesting genetic associations that are less

1 easy to interpret but are also exciting in their
2 novelty. So, when we look at cases of DILI due to
3 the combination sulfamethoxazole trimethoprim, we
4 see a very strong signal association on the short
5 arm of chromosome 9. And this is, to my knowledge,
6 the first example of a genome-wide association
7 study showing a result outside of the HLA genes.
8 The difficulty here is that this is a common SNP
9 that is intergenic and that is probably is an
10 understatement. This is a SNP that is probably
11 about half a million base pairs away from any known
12 protein coding gene. So, how this actually works,
13 we don't quite know. But we are looking forward
14 to performing some studies to follow-up on that.

15 **TU#13:** To wrap up, there are some ongoing
16 studies using Next-Gen sequencing whole genome,
17 whole exome sequencing to try to identify rare
18 variants that may be predictive of drug-induced
19 liver injury. As a transition to the next few talks,

20 **TU#14:** I think understanding the mechanisms of
21 drug-induced liver injury will help us to better

1 interpret the genetic data that we have in humans,
2 to try to find clinical predictors of DILI. And
3 that can then feed back into mechanistic studies
4 of those genes. And so I see this as kind of a cycle
5 of increasing our knowledge of DILI mechanisms.

6 **TU#15:** So, thanks.

7

8

1 **Discussion Session IVA-4**

2 DR. WATKINS: Great, thanks. I realize that
3 I have been a horrible moderator and Merrie has like
4 60 seconds to give her talk. How many people in
5 the audience right now are willing to stay until
6 4:30 instead of 4:00?

7 Okay, I am afraid we can't take questions for
8 Tom, but I know there are some people he would love
9 to come to the mike. I think if you can stick
10 around or email him, we will have to just deal with
11 it that way.

12 Our next speaker, is Merrie Mosedale. She is
13 a research investigator at our Institute. She
14 heads up our mouse genetic program but she is also
15 really the major coordinator and director of a very
16 large research project we have that involve
17 scientists not just at our Institute but at other
18 academic center and particularly Otsuka, with
19 Sharin Roth and Bill Brock, who are in the audience
20 today. So, Merrie, tell us about it.

21

1 **Mosedale photo, biosketch, abstract**

2 **MM#1:** It is bad to be starting your talk when
3 the session is supposed to be ending here but I will
4 try to go through it quickly.

5 I am going to tell you today about the
6 Tolvaptan Initiative, which is an effort to
7 identify a personalized DILI risk management
8 strategy.

9 **MM#2:** Tolvaptan is a vasopressin antagonist
10 developed by Otsuka, already approved for the
11 treatment of hyponatremia. It is a candidate as
12 well for the treatment of autosomal dominate
13 polycystic kidney disease. Unfortunately, liver
14 injury was associated with tolvaptan during
15 clinical trials, and about 4% of patients taking
16 the drug developed ALT elevations greater than
17 three times upper limit of normal, and there were
18 three Hy's Law cases. So, FDA approval for this
19 indication has not yet been received. I show this
20 figure here, which is LFT plots that I know a lot
21 of you are familiar with. So, I won't describe it

1 in detail. I just want to draw your attention to
2 the ALT values in black. And the gray shading
3 indicates where this particular patient was on
4 drug. This is the time course of a liver response
5 for an actual tolvaptan-treated patient. I want to
6 point out that this patient was on drug for several
7 months before there were any elevations in ALT.
8 Then after the drug was stopped and the ALT values
9 returned to normal, when the patient was
10 re-challenged with the drug, ALT elevations
11 occurred much faster during the second exposure.
12 This kind of profile is suggestive of an
13 involvement of an adaptive immune attack as sort
14 of the critical event promoting the liver injury.
15 There is quite a bit of evidence to support the role
16 of the adaptive immune system in these liver injury
17 profiles, including, as we had just heard from Dr.
18 Urban, really strong genetic associations between
19 susceptibility to these kinds of liver injuries and
20 the HLA region of the genome. Demonstrated HLA risk
21 allele associations have not been clinically

1 useful in risk management. We believe this is
2 because there are actually unaccounted for
3 susceptibility factors and a risk that occurs at
4 the level of the liver.

5 **MM#3:** Illustrating the steps here, where drug
6 elicits some hepatocyte stress. This results in
7 an innate immune response and release of danger
8 signals that, in combination with the adaptive
9 immune attack, are actually responsible for the
10 liver injury. But non-HLA risk alleles have not
11 been clinically useful in DILI risk management.
12 We believe there is need for both genetic and
13 non-genetic biomarkers in order to develop a
14 personalized medicine strategy. While it was
15 unfortunate that liver injury was observed in the
16 clinical trials for tolvaptan, one really positive
17 thing to come out of this was that Otsuka was really
18 diligent in collecting samples from patients in the
19 trials, including genomic DNA. Plasma and urine
20 were collected at baseline, at three weeks, and
21 then annually for up to three years on drug

1 treatment, from both controls and cases in people
2 that experienced the liver injury.

3 **MM#4:** Examples of sample collection from the
4 cases are illustrated in the figure on this slide.
5 And you can see there was plasma and urine collected
6 at baseline, on three weeks on drug but before there
7 was any sort of liver injury, and then also at the
8 time of event. And then for all the cases, there
9 is a DILI causality assessment by five
10 hepatologists. Given this really rich sample set
11 and the kind of tools and approaches, we realized
12 this would be a great opportunity for us at the IDSS
13 to collaborate with Otsuka, as well as their other
14 partners, to identify a personalized medicine
15 strategy for tolvaptan.

16 **MM#5:** Objectives of the Tolvaptan Initiative
17 are to manage the risk of DILI in tolvaptan-treated
18 patients through the identification of both
19 genetic and non-genetic risk factors for
20 tolvaptan-induced liver injury and to provide a
21 mechanistic understanding of the tolvaptan

1 toxicity, in order to further direct discovery
2 efforts and to provide biological plausibility for
3 any empirically-derived biomarkers.

4 **MM#6:** The integrative approaches that we are
5 using to develop this strategy really begin with
6 the clinical data and samples collected from the
7 patients in the clinical trials, where more
8 unbiased approaches have been taken, such as
9 metabolomics and genetic analyses to identify risk
10 factors associated with susceptibility to the
11 liver response. We are also coupling these unbiased
12 approaches with more targeted approaches. For
13 instance, using in vitro models to identify the
14 activation of stress response pathways in primary
15 human hepatocytes exposed to tolvaptan. We are also
16 using some cutting edge genetically diverse mouse
17 population models. And then we are taking data from
18 all of these different approaches, including some
19 others, and using it to guide the development of
20 a computational model for tolvaptan-induced liver
21 injury, using the DILI₃ software. But what is

1 really cool about this approach is that we are
2 taking data from all of these different studies and
3 actually then using it to guide a targeted
4 hypothesis base approach to biomarker discovery in
5 the clinical data and samples collected from the
6 patients in these trials.

7 I don't have time to tell you about all the
8 different studies today. In fact, I feel like I
9 barely have time to tell you about the mouse
10 population-based approach we are using but that is
11 what I am going to talk about mostly. Some of you
12 may know that at the Hamner we have been working
13 for a while with genetically diverse populations,
14 which have allowed us better to model adverse
15 responses observed in humans, even when there is
16 no toxicity observed in traditional non-clinical
17 models, as was the case for tolvaptan.

18 **MM#7:** But recently, we have transitioned to
19 working with the next generation of these
20 genetically diverse mouse populations, a genetic
21 reference population called the Collaborative

1 Cross. The Collaborative Cross is a superior
2 resource for this kind of work because of the
3 rationally designed breeding scheme that has been
4 used to develop this population. It has resulted
5 into just a really extremely diverse population of
6 mice and this allows us to not only model these
7 kinds of toxicities that are observed in humans but
8 also do high resolution genetic mapping to identify
9 risk factors and to study mechanisms that are
10 associated with the toxicity susceptibility. We
11 have been fortunate to work with this population
12 that is currently only available through UNC. And
13 we have hypothesized for this work that evaluating
14 the liver response to tolvaptan in a genetically
15 diverse population like the Collaborative Cross
16 could allow us to identify sensitive strains, which
17 could be used to both study mechanisms and identify
18 risk factors for tolvaptan DILI.

19 **MM#8:** One other point I want to make here
20 before showing data from this study, is just going
21 back to this figure I showed earlier. As you heard

1 this morning from Dr. Uetrecht, it is difficult to
2 model the adaptive immune response in non-clinical
3 models. So, we are actually focusing on
4 evaluating these very early events, the hepatocyte
5 stress and potentially innate immune response. But
6 we believe these initial events may not actually
7 involve cell death or hepatocyte death. So, we may
8 not see a response by measuring traditional
9 non-clinical markers alone, markers like ALT.
10 What we have learned that liver gene expression
11 profiling, after an acute high-dose exposure of a
12 drug can actually be able to be used to identify
13 these very early events, even in the absence of
14 overt toxicity. For this study here, we are
15 actually combining a mouse population-based
16 approach with toxicogenomics to identify
17 mechanisms and risk factors associated with the
18 toxicity.

19 **MM#9:** This is the study design here. We
20 treated 45 Collaborative Cross strains, eight male
21 mice per strain; four getting vehicle and four

1 getting tolvaptan, with just a single dose. And
2 then 24 hours later, we necropsy the animals. I want
3 to make the point that the dose of tolvaptan that
4 we are using is 100 mgs per kg. The human
5 equivalent dose in AUC for this dose in a mouse is
6 actually not that different from the dose used in
7 the clinical studies. At necropsy, just 24 hours
8 after this single dose, these are the endpoints
9 that we are measuring. So, after the single dose
10 of tolvaptan, we weren't expecting to see liver
11 injury by measuring traditional biomarkers like
12 ALT alone. But I think as you can appreciate here,
13 we did see elevations in ALT in three of these 45
14 strains. We also did histology. Not surprisingly,
15 we didn't see any changes after just 24 hours.

16 **MM#10:** We did find that these ALT elevations
17 were well-correlated with AST and miR-122. We did
18 a global gene expression profiling in the liver of
19 all of these animals. First we looked at were gene
20 expression changes that were associated with
21 treatment across all of the strains, independent

1 of a liver response. And you can see here in those
2 genes we found enrichment of pathways that were
3 suggestive of mitochondrial dysfunction. We also
4 looked for gene expression changes that were
5 associated or correlated actually with the ALT fold
6 change. And here we found enrichment of pathways
7 suggesting some alterations in bile acide
8 homeostasis.

9 **MM#11:** And then we looked for gene expression
10 changes that were not only associated with
11 treatment but that would differentiate our
12 resistant and sensitive genes. And the most
13 significant gene to come out of this analysis was
14 actually a gene that is involved in the loss of
15 immune tolerance. The really cool thing about this
16 gene here is that the protein product produced from
17 this gene gets secreted in the liver. It goes into
18 circulation and it may be a serum biomarker.

19 **MM#12:** We also did QTL mapping, using ALT fold
20 change. And I know you have seen a bunch of these
21 Manhattan plots in the last talk, so I won't

1 describe what this is here. I just want to point
2 out that the strongest genetic association we saw
3 was on chromosome 14. We looked at the genes within
4 the interval on chromosome 14 and narrowed it down
5 to about six high priority candidates, some of
6 which have a biological relevance in showing some
7 association with apoptosis and innate immune
8 response.

9 **MM#13:** I know I went through this quickly. I
10 will just summarize the major findings from this
11 work. A tolvaptan-induced liver response was
12 observed in three of the Collaborate Cross strains.
13 So, now we have animal models for additional
14 mechanistic experiments. Our toxicogenomics work
15 identified some treatment-induced stress response
16 pathways that occurred across all strains in
17 response to the treatment and some that were
18 specific just to the sensitive strains.

19 We did QTL mapping and were able to identify
20 some genetic associations with the
21 susceptibility. And all of this was discovered with

1 just this single dose of tolvaptan that is
2 comparable to that used in the clinical trials.

3

4

5

6

7 Going back to this figure one last time here,
8 I just wanted to point out that we saw some evidence
9 for mitochondrial toxicity and bile acid toxicity,
10 apoptosis, and loss of immune tolerance. We have
11 identified both genetic and non-genetic biomarkers
12 and these will now go on to guide a hypothesis-based
13 approach to biomarker discovery in the samples
14 collected from the clinical studies.

15 **MM#14:** This illustrates that point here. I
16 told you about the cutting edge preclinical models.
17 But we are generating this kind of data from all
18 of the approaches that we are including in this
19 initiative. And all of this data is coming
20 together and is being used to guide a really
21 hypothesis-based approach to biomarker discovery

1 in the clinical data and samples from the tolvaptan
2 studies. I think I have shown you that we have
3 really transitioned from using these approaches to
4 explain problems to now, hopefully, solving them.
5 And we have learned a lot about how to do this work
6 now and we believe that we can do this kind of study,
7 a Collaborative Cross study, as well as some of the
8 other approaches that I wasn't able to tell you
9 about today, in as little as six months.

10 **MM#15:** There are a lot of people to thank that
11 are part of this effort. And before Paul cuts me
12 off here, I will just thank a few people that are
13 in the audience today: Paul, who directs our
14 Institute; some other folks like Dr. Urban, who is
15 heading up the genetics work; Brett Howard, head
16 of the DILIsym team; and then our partners from
17 Otsuka, mostly Dr. Bill Brock and Sharin Roth, who
18 have been extremely helpful in doing this work.

19 **MM#16:** So, thank you very much.

20

21 **Moderators: Session IVB**

1 DR. WATKINS: Great, thanks, Merrie.
2 Yes, I know I have been a horrible moderator here
3 letting things get so far over time. How many people
4 here feel they absolutely need a break right now,
5 versus just charging into the next session and
6 staying on time? I will check to make sure the
7 refreshments are going to stay out there but I think
8 we should just head on to the next one.

9 (Refreshment break deleted)

10 DR. SZABO: Okay, I think we saved some of the
11 most interesting things for last. So, I would like
12 to invite Dr. Dan Antoine from University of
13 Liverpool to talk about HMGB1 variations that
14 determine DILI, whether it is benign or dangerous.

15

16 **Antoine photo, biosketch, abstract**

17 **DA#1:** Thank you very much and thanks to the
18 organizing committee for the opportunity to come
19 and present some of the work here to you today. And
20 thank everyone for sticking around this afternoon
21 to listen to the talks that we have to present.

1 As you know, I am based at the MRC Centre for Drug
2 Safety Science at the University of Liverpool. I
3 work with Kevin Park. We have a great interest in
4 the development of biomarkers that we can utilize
5 to understand the mechanistic basis of
6 drug-induced liver injury, and to provide tools
7 that we can use to assist our understanding of
8 drug-induced liver injury, alongside the currently
9 used standards.

10 **DA#2:** When I think about the development of
11 biomarkers from my point of view, I am looking at
12 some of the challenges and unmet needs that we have.
13 We need to develop biomarkers with improved hepatic
14 specificity, about which we have already seen some
15 excellent work presented by Dr. Szabo, looking at
16 miR-122. We need to develop biomarkers for an
17 enhanced mechanistic understanding, particularly
18 in that translational space, so that we can work
19 between animals and humans to try to understand
20 DILI better; and earlier identification of

1 drug-induced liver injury. I discussed that last
2 year, so I am not going to touch on that today.

3 The focus of my talk today is really going to
4 be biomarkers that are linked to mechanisms that
5 we can really utilize to understand patient
6 responses a lot better. And by that, I mean
7 looking at patient outcomes and prognosis but also
8 differentiating between benign changes and ALT
9 activity and serious drug-induced liver injury.
10 From my mind, to try and really understand that a
11 lot better, to develop biomarkers associated with
12 that, you have to really understand the mechanistic
13 basis a lot better of drug-induced liver injury.

14 **DA#3:** I want to introduce you to one of my
15 personal favorite biomarkers. I know you are not
16 supposed to have favorites but I do. And this is
17 High Mobility Group Box-1. I have an interest in
18 HMGB1 because it acts as a dominant associated
19 molecular pattern protein. It links necrotic cell
20 death to the activation of the immune response.
21 And it does that by acting as a chemokine or as a

1 cytokine for toll-like receptors, in particular
2 TLR4, and CXCR4, and also the receptor for advanced
3 glycation end products.

4 **DA#4:** With respect to understanding its
5 utility as a biomarker, we know that it can come
6 out from the cell in a number of different ways.
7 There is a passive release during a necrotic
8 response. It also can be actively secreted from
9 cells, particularly immune cells. And that
10 requests a set of key lysine residues within its
11 nuclear localization sequence. And I have just
12 highlighted some of those on that schematic across
13 the bottom of the screen of the various structural
14 domains of HMGB1.

15 **DA#5:** Very interestingly, HMGB1 has three
16 sustained residues, only three sustained residues
17 and each is very important for its function. They
18 are modulated by post-translational redox
19 dependent modifications and it has a profound
20 impact on its function as an inflammatory mediator
21 and I am going to discuss that a bit alter during

1 the course of the presentation. We looked at HMGB1
2 as a biomarker in the paracetamol and overdose
3 model in a mouse. And what we did is we initially
4 tracked its progression from the loss and the
5 release from the centrilobular region following
6 necrosis, following paracetamol treatment, to its
7 appearance in blood.

8 All this sounds quite a straightforward and
9 an easy concept but it has not been actually
10 presented in the literature, tracking the
11 biomarker from the tissue to the periphery. We also
12 looked at identifying the two different molecular
13 forms in our animal model of paracetamol overdose.
14 If you remember, I told you that two distinct
15 molecule forms, which correlate with the mechanism
16 of release is the hypo-acetylated form, which is
17 shown in green, which is indicative of a necrotic
18 response and the hyper-acetylated version of
19 HMGB1, which gives us an indication of an active
20 immune response. We were able to develop and
21 validate a mouse-based approach to identify and

1 quantify these different isoforms of HMGB1 in
2 blood. And what you can see from the data on the
3 bottom right-hand side of the screen shown in green
4 is the necrotic version of HMGB1, followed by a
5 release of the inflammatory version of HMGB1. And
6 essentially, what we see in mice is we see by
7 indication of these two biomarkers, a biphasic
8 response. We see necrosis, followed by
9 inflammation.

10 **DA#6:** Of course, we are very interested to see
11 if these observations hold true in man. And of
12 course what you can see there on the left-hand side
13 is the data from the mice. We further developed
14 this assay to quantify HMGB1 in the blood of humans
15 from acetaminophen overdose. And what you can see
16 there is essentially we see the same pattern and
17 response. We see the release of the necrotic
18 version of HMGB1, followed the inflammatory
19 version. So, the mechanisms hold true from both
20 mouse to man.

1 **DA#7:** Of course we want to know if this is
2 important. We know that inflammation plays an
3 important deleterious role in animal models,
4 following paracetamol overdose but can we use this
5 biomarker to try and predict patient responses
6 better? And that was the hypothesis. The
7 acetylated version of HMGB1 would be upregulated
8 in the blood of patients that had a worse outcome.

9 So, what you can see there on the data on the
10 left-hand side is data from 78 patients that have
11 taken paracetamol overdose and we have grouped them
12 according to their outcomes. So, those that have
13 spontaneously survived are shown in purple and
14 those that died or required a liver transplant are
15 indicated in red.

16 And what you can see from the data, this is
17 old data now but what you can see that the patients
18 that spontaneously survived, their levels of
19 acetylated HMGB1 circulated in blood was not
20 significantly different than healthy volunteers.
21 Both the guys that required a liver transplant or

1 in fact died, their level of acetylated HMGB1 was
2 significantly increased in blood.

3 **DA#8:** So, we show the HMGB1 can act as a
4 biomarker but, of course, we are very keen to know
5 that it is not just a -- it doesn't just act as a
6 biomarker. We want to know if it plays a key role
7 in the mechanism of the pathology and the mechanism
8 of the drug-induced liver injury.

9 **DA#9:** One strategy we adopted was to see if
10 by neutralizing circulating HMGB1 in blood we could
11 reduce the adverse effects associated with the drug
12 in a mouse model of drug-induced liver injury. So,
13 what we did is we treated mice with acetaminophen
14 and you can see the profile and the time course of
15 the lethality over time. And what you can see
16 there on that data on the top left-hand side of the
17 screen is that coadministration of HMGB1
18 neutralized an antibody in fact has a positive
19 outcome on outcome in these mice. And what we have
20 done now is we have gone on to develop that a lot
21 further and developed a humanized version of that

1 antibody. We could also see a positive outcome on
2 ALT activity and then when we looked in detail at
3 the livers, the histological sections of the livers
4 from these mice, in the mice treated with
5 paracetamol in a control antibody, we saw both
6 necrosis and inflammation, characterized by an
7 infiltration of neutrophils within the liver. But
8 if we co-treated those animals with a neutralized
9 antibody for HMGB1, we saw necrosis and knocked
10 out, essentially the infiltration of inflammatory
11 cells into the liver. So, we essentially broke
12 that cycle between necrosis and inflammation by
13 knocking out HMGB1.

14 **DA#10:** But of course, these are antibodies and
15 to really confirm the important role that HMGB1
16 might play in the pathogenesis of drug-induced
17 liver injury in these mouse models, we had to create
18 an HMGB1 knockout mouse. But, unfortunately, if you
19 knockout HMGB1 from the whole body, it is embryonic
20 lethal. So, we had to design a strategy to produce
21 a conditional knockout approach.

1 **DA#10:** What we did is we blocked exosomes two
2 to four and essentially cut out the HMGB1 gene and
3 combined that with an albumin-based approach and
4 this is some of the validation data from the bottom
5 of the screen. You can see on the left-hand side
6 that the wild type mice with HMGB1
7 immunohistochemical staining, shown up nice and
8 bright in the nucleus of the hepatocytes. But in
9 the HMGB1 specific knockout in the hepatocytes in
10 the right-hand side, you can see that HMGB1 is
11 completely knocked out from the hepatocyte and only
12 expressed in the non-parenchymal cells. So, we
13 had the tools to test the hypothesis even further.

14 We challenged these mice with acetaminophen
15 and on the top left-hand side, you can see the
16 ALT/AST data. And as you can expect from our
17 antibody study, the mice that had HMGB1 knocked out
18 from hepatocytes had a significantly reduced rise
19 in ALT activity compared to the wild type. They
20 also performed better, with respect to survival.

1 **DA#11:** We looked at the livers of those mice
2 histologically. We could also see that the HMGB1
3 knockout mouse had a significantly lower score for
4 necrosis in the liver, compared to the wild type
5 mouse. Of course, if you utilize acetaminophen as
6 you model hepatotoxicity, you have to look at
7 metabolism. So, we looked at 2E1 expression,
8 glutathione depletion, and the formation of
9 paracetamol protein. And what you can see from the
10 data here that 2E1 expression was comparable
11 between both strains. The ability for the
12 acetaminophen reactive metabolite to reduce
13 glutathione was the same between both strains and
14 also reacting metabolite to hepatic protein was the
15 same across both strains.

16 We looked at the mechanism in a bit more
17 detail and I will just briefly give an overview of
18 these sections. I know they are quite detailed.
19 But essentially what we saw by knockout HMGB1 from
20 the hepatocyte, we prevented neutrophil
21 infiltration into the liver but not macrophage

1 infiltration. And that was what also supported
2 our previous studies, using the neutralizing
3 antibody to HMGB1. But of course we wanted to really
4 push this model and test this hypothesis further
5 and really confirm whether or not HMGB1 played a
6 significant role in the development of
7 drug-induced liver injury following an initial
8 hepatic necrotic response.

9 **DA#12:** To test that hypothesis, we expressed
10 HMGB1 in hepatocytes that were normally not
11 expressed in HMGB1, so a conditional mouse model,
12 using an adenoviral gene delivery system. So, by
13 restoring hepatocyte HMGB1 expression, we could
14 restore the toxic effects that we saw with
15 paracetamol shown by ALT activity on the top
16 right-hand side of the screen. We have restored
17 the neutrophil infiltration response into the
18 livers and also the increased necrotic response we
19 saw in the livers by re-expressing HMGB1 back into
20 the hepatocyte. So, that is all from paracetamol
21 overdose and it is all from a mouse model.

1 **DA#13:** But recently, we have begun to show the
2 utility and the importance of HMGB1 in other forms
3 of liver disease. We published on HMGB1 in
4 obstructive cholestasis with Helmut Jaschke. We
5 published on the role that HMGB1 plays in alcoholic
6 liver disease both in humans and also in mouse
7 models. I was very fortunate to present that as
8 a Webex at the AASLD and a hepatotoxicity special
9 interest group in January earlier this year. And
10 also we have got HMGB1 and its role in ischemia
11 reperfusion.

12 **DA#14:** But of course, we want to know if we can
13 utilize HMGB1 to explore the concept of the
14 development of serious drug-induced liver injury.
15 And these are the concepts that have been widely
16 discussed over the course of this meeting. The
17 role of Hy's Law and its potential to identify and
18 predict serious drug-induced liver injury. So, I
19 won't talk about that in too much detail but we know
20 that is really what we have at the moment and it

1 is our best assessment, according to the current
2 standards.

3 So, of course for the development of new
4 drugs, the increase in ALT activity is an important
5 problem and one that we don't really fully
6 understand, whether ALT is just a benign change or
7 indicates a serious drug-induced liver injury.

8 **DA#15:** I am sure most people in the audience
9 would recognize this paper published by Paul in
10 2006 in JAMA. He showed that about a third of those
11 patients in that study developed a transient change
12 in ALT activity. We have applied the mechanistic
13 biomarker panel to those individuals in that study
14 and we have shown a predominant increase in the M30
15 fragment of keratin 18, the apoptotic component.
16 So, we concluded that the major form of cell death
17 in this particular patient cohort in this
18 particular setting was apoptosis.

19 **DA#16:** But if we look at quantifying HMGB1
20 levels in the blood of these individuals, we also
21 see an increase in total levels of HMGB1 in blood.

1 So, these patients or these volunteers have quite
2 significant value of HMGB1 circulated in blood had
3 quite a potent dominant associated molecular
4 pattern but they don't develop a serious
5 drug-induced liver injury. They recover and they
6 are okay. So, why don't they develop that serious
7 reaction, despite having a high level of that
8 potent inflammatory mediator in blood?

9 So, to understand that in a bit more detail,
10 we need to understand HMGB1 biology itself. So,
11 if you remember, I mentioned that HMGB2 has three
12 cysteine messages and I have a biochemistry
13 background. So, when I think about that, I start
14 to get a little bit excited. Maybe some of you guys
15 won't. But what I thought is put this schematic
16 on the screen here, just to show you the importance
17 really of cysteine residues and how they play in
18 biological systems.

19 **DA#17:** If you think back to your biochemistry
20 days, you know that cysteine can form disulphide
21 bonds and that is quite important for structural

1 integrity of proteins and thiol residues are
2 particularly important for protein-protein
3 communication. But also, if you oxidize cysteine
4 residues on proteins, that actually makes proteins
5 targets for degradation and can actually
6 inactivate proteins.

7 **DA#18:** This slide summarizes quite a
8 significant amount of work led by my laboratory
9 with some collaborators across the globe, where we
10 pooled resources and we have all of an interest in
11 HMGB1. And what we did is we utilized mouse-based
12 technologies, coupled with cell biology and
13 molecular biology to determine what
14 post-translational modifications with respect to
15 redox status impact on HMGB1 function.

16 What we showed is that the functions of HMGB1
17 are mutually exclusive with respect to cytokine
18 induction and chemotaxis. For HMGB1 to act as a
19 chemoattractant agent, all those cysteine residues
20 must be reduced in a thiol state. If there is a
21 disulfide bond present between cysteines 23 and 45

1 and cysteine 106 is reduced, then HMGB1 can act as
2 a cytokine inducing agent as a lead-in for thiol
3 receptor 4, in fact MD2 associated with thiol
4 receptor 4. But if you continually oxidize all
5 those cysteine residues to sulphonates, then HMGB1
6 has not function at all with respect to a cytokine
7 and also a chemoattractant. We also know that these
8 oxidation modifications of HMGB1 appear to be cell
9 death mode-dependent and specific as well.

10 Previous to this work, another group showed
11 that mitochondrial cleavage -- a caspase-mediated
12 cleavage in mitochondrial complex one can induce
13 ROS production and join apoptosis and can
14 inactivate HMGB1 through terminal oxidation.
15 Sort of an innate response to prevent the control
16 and spread and damage associated with molecular
17 patterns in and around secondary necrotic
18 response. We tested the hypothesis that during
19 apoptosis HMGB1 is oxidized and that could
20 potentially one reason why you don't see a necrotic
21 response.

1 **DA#19:** So, we simply tested that head to head
2 in our murine model of acetaminophen overdose,
3 where we see a mix of apoptotic response with
4 necrosis and also necrosis only.

5 What we saw in the animals where we saw
6 apoptosis and necrosis wsw oxidation of HMGB1.
7 But in our mouse models, where we only saw necrosis,
8 we saw the two perinflammatory isoforms of HMGB2
9 circulating in blood. To confirm the caspase
10 dependency of those observations, we treated the
11 animals where was saw apoptosis with a caspase
12 inhibitor and then switched the phenotype to an
13 necrotic inflammatory phenotype with the potent
14 inflammatory isoforms of H and G we want to
15 circulate in blood.

16 We know that those different isoforms of
17 HMGB1 are cell death mode dependent. So, the next
18 obvious question we asked ourselves is could,
19 through looking at HMGB1 isoforms, can we explain
20 why we see one cohort of patients develop serious
21 drug-induced liver injury and those develop a

1 benign change in ALT activity by really
2 understanding the mechanistic basis.

3 **DA#20:** If we divide our cohorts of patients
4 into those that have a serious injury or the large
5 overdose group could host the transient injury from
6 Paul's study. And when we look at the mechanistic
7 biomarkers, we know that the serious overdose guys
8 have a really small portion of apoptosis, whereas
9 the guys with the transient changes in ALT activity
10 have a significant proportion of apoptosis. We
11 looked at the HMGB1 isoforms in blood. If we first
12 focus our attention on the serious injury, we see
13 when we have isolated H and G, we want to
14 characterize that by electrospray ionization mass
15 spectrometry. We see many different isoforms of
16 HMGB1 in blood.

17 If we isolate the H and G from the blood from
18 those with benign changes in ALT, we only see one
19 isoform of HMGB1 in blood. And if we characterize
20 those a lot further using tons of mass
21 spectrometry, we can start to put

1 post-translational modifications on top of those
2 isoforms.

3 And essentially what we see in the patients
4 with the serious overdose, we see all the bad
5 players, the bad H and G isoforms, the
6 cytokine-induced form, the chemoattractant, plus
7 its acetylated derivatives from active release
8 mechanisms.

9 But if we characterize the cysteine residues
10 in more detail for the benign changes in ALT group,
11 we only see the terminally oxidized form of HMGB1
12 or the form that has no inflammatory function,
13 according to current theory. This led us to believe
14 that HMGB1 isoforms could potentially not only act
15 as a biomarker for serious overdose of serious
16 liver injury versus benign changes in ALT but also
17 could be a key mediator in these processes.

18 **DA# 21:** we took that a little bit further with
19 pharmacologists at the University of Liverpool.
20 So, we like to put a number on everything and
21 quantitate things as much as we can. We quantified

1 those different isoforms of HMGB1 across those
2 different cohorts. And what you can see by looking
3 at that graph there, you can see that the patients
4 with the therapeutic indication of paracetamol
5 only had the terminally oxidized form of HMGB1.
6 The guys that spontaneously survived, they had a
7 mixed bag of HMGB1 isoforms but the guys that died
8 or required a liver transplant, their redox balance
9 was shifted towards the reduced form or the
10 proinflammatory active forms of HMGB1.

11 **DA#22:** Lessons that we learned from these
12 cohorts, these retrospective cohort analysis is
13 that functionally distinct HMGB1 isoforms can
14 determine if paracetamol liver injury is serious
15 or benign. And of course, we can add an extra
16 mechanistic understanding to that and link that
17 back to the form of cell death.

18 And in this figure we have taken those three
19 different groups of patients, the spontaneous
20 survivors, the guys that died or required a liver
21 transplant, or the guys with benign changes in ALT

1 and we have correlated the redox ratio so that the
2 values associated with the inactive form of HMGB1
3 over the proinflammatory form of HMGB1 and we
4 correlated that against the so-called apoptotic
5 index using the M30, M65 ratio.

6 You can see from these data that those patients
7 quite nicely separate. And what we see is that
8 those HMGB1 isoforms are linked to cell death mode
9 dynamics as well.

10 **DA#23:** I summarize there that we have shown
11 that HMGB1 can be a key mechanistic biomarker in
12 experimental and also clinical drug-induced liver
13 injury. We have shown that in paracetamol
14 overdose, and in other forms of liver injury. We
15 have developed conditional knockout mouse models
16 to explore the mechanism of pathology. We have
17 looked at different HMGB1 isoforms to inform
18 patient outcome and prognosis and also try and
19 differentiate between benign changes in ALT to
20 serious liver injury.

1 And now we believe that HMGB1 is not one
2 protein, but it is a number of different proteins
3 and isoforms.

4 **DA#24:** I would like to thank some of these
5 people that here in the audience, particularly
6 Kevin Park from the University of Liverpool and,
7 of course, the external mentorship from Paul
8 Watkins and his lot at the Hamner. Thank you.

9

10

1 **Discussion Session IVB-1**

2 DR. SZABO: Thank you for this really
3 beautiful presentation. I think we have time for
4 one or two questions. Linda -- Dr. Greenbaum.

5 DR. GREENBAUM: Hi. Linda Greenbaum. What
6 would be the predicted effect of
7 N-acetyl-L-cysteine, which we know is affective in
8 apop injury on the redox ratio of the HMGB1?

9 DR. ANTOINE: Obviously, that could have a
10 huge impact, as you said but all these patients had
11 NAC treatment, actually. So and we still see a
12 difference post-cell death mode dynamics with
13 those patients. So, we really need to test that
14 head to head, actually, in an experimental model.

15 PARTICIPANT: I have two questions. What is
16 the turnover of each one of these different forms
17 of HMGB1? Because if you measure them at different
18 times because of the attack then they may be missing
19 certain data.

20 The other question is are these different
21 forms by a different receptor that you mentioned

1 or they are all have the same targets? Because you
2 mentioned like three of them, like TLR4, receptor
3 4 and another one.

4 DR. ANTOINE: Your first question was with
5 respect to turnover. We know that these isoforms
6 have a shorter half-life than ALT activity and we
7 know that their terminally oxidized form has an
8 even shorter half-life. That is one of its
9 mechanisms, actually, to grade the proteins to
10 terminally oxidize it and switch it off as an
11 inflammatory mediator. With respect to the
12 receptors, we know that the disulphide form will
13 only interact with MD2 as part of the TLR4 complex
14 and not RAGE the CXCR4 receptor. And of course,
15 the opposite is true. The reduced form will only
16 interact with CXCR4 and RAGE but not TLR4. So,
17 they are completely mutually exclusive isoforms
18 and have independent cell signaling pathways.

19 DR. SZABO: Last quick question.

20 DR. WATKINS: It is fantastic work. It is
21 very hard from me to imagine ALT elevations

1 observed in a Phase 1 study anywhere without
2 measuring these kind of markers. Are you open for
3 business? In other words for people wanting to
4 collaborate with you who may have issues like this?

5 DR. ANTOINE: We are open for business.
6 Anyone that wants to collaborate, we are very keen
7 on, and we are really hoping that the development
8 of this new Liver Safety Research Consortium can
9 bring a sample base to us to be able to do that in
10 a precompetitive way.

11 DR. SZABO: Thank you. Fantastic. We are
12 going to move on. The next presentation is by Dr.
13 Brett Howell from USC on serum cytokeratin 18 as
14 a biomarker for liver injury.

15

16 **Howell photo, biosketch, abstract**

17 **BH#1:** Thank you for the introduction and
18 thanks to the organizers for allowing me to give
19 this talk, and for you all for skipping your coffee
20 break so that we can get our talks in.

1 I am going to be discussing serum
2 cytokeratin-18 and its role in the clinic as a
3 biomarker, as an example. So, I will get to the
4 questions that I want to raise towards the end.
5 And unfortunately, I am going to be raising more
6 questions than providing answers but really just
7 starting the conversation on this.

8 **BH#2:** This example comes out of the DILIsym
9 Initiative, which is an effort by the
10 pharmaceutical industry to support us in
11 developing a tool for predicting, understanding,
12 and decision-making with respect to DILI. So the
13 goals are here on the right-hand side.

14 **BH#3:** The problem I will discuss today, is
15 just one of the many different applications that
16 to which we have tried to apply DILIsym, such as
17 extrapolating from in vitro data to get early
18 clinical predictions, understanding variability
19 and response across individuals, and so on.

20 Today I want to discuss a DILI dose response
21 scenario where the question of whether there is or

1 isn't DILI is not the question. The question is
2 whether there is a risk mitigation strategy that
3 can be taken forward.

4 **BH#4:** And this is an example for a drug that
5 is in development. I will be referring to Compound
6 X. But just so you know, it is an actual example
7 we are working on. The clinical concern with this
8 novel compound is that is in development to address
9 an important unmet medical need. Importantly,
10 this is for the inpatient setting, patients in the
11 ICU, more than likely, treated with the compound.

12 **BH#5:** The concern is that ALT and other
13 markers including cytokeratin 18 were elevated in
14 some subjects in these studies. The question was
15 whether there is any way forward for this. Some of
16 the data that the company has given to us is shown
17 here on the bottom left. You see ALT elevations
18 in some of the subjects in one of the cohorts. The
19 ALT time course showed three times and two times
20 the upper limit of normal with no explanation. In
21 this case, 4 out of 8 or so, 4 out of 7 were above

1 three times the upper limit of normal and some well
2 above. It has hard to see the green curve there
3 at the bottom but that was actually the control.

4 But if we look at the data in a tabular format,
5 you can see on the left-hand side in this table some
6 numbers and words. So the numbers really refer to
7 the dosing level, so of blinded the actual dose here
8 but just think of 1x as the target dose, target
9 daily dosing level. They did a number of small
10 clinical studies with daily dosing levels below and
11 above the targeted dose. This drug happens to be
12 infused intravenously. So, they varied from long
13 infusions to shorter infusions and in-between. You
14 can see the DILI dose response on the right, with
15 the ALT elevations they saw in the clinical study.

16 In general, their problem wasn't correlated
17 with infusion length but it was quite correlated
18 with dose. So, as the dose went up, they saw more
19 problems and more severity.

20 In addition, they also assessed, at our
21 suggestion, some model biomarkers. For example,

1 they assessed miR-122 or allowed us to measure.
2 And miR-122 correlated on an individual patient
3 level quite nicely with ALT and showed clearly for
4 specificity. Cleaved cytokeratin 18 was also
5 elevated and showed that this was a mode of cell
6 death that was seen with both apoptosis and in some
7 necrosis but predominately apoptosis. I will come
8 back to these biomarkers at the end of the talk.

9 **BH#6:** What were the goals for us with DILIsym?
10 What were we trying to accomplish? First of all,
11 to help understand what the potential mechanisms
12 for this problem could be, in combination with some
13 in vitro studies, and then also to help optimize
14 the dose and monitoring protocols to find, if
15 possible, an adequate liver safety margin for the
16 compound.

17 **BH#7:** To give you a very brief snapshot of
18 DILIsym, it is a computational tool made up of
19 ordinary differential equations and parameters
20 that represent several species and humans, but they
21 are focused on humans.

1 The liver in this model is represented by
2 three distinct zones, rather than continuously.
3 They are lumped and assumptions are made, but you
4 can see some of the key processes that we have been
5 working on, including PK, oxidative stress,
6 intracellular bile acids, and their homeostasis
7 throughout the body, as well as mitochondrial
8 dysfunction and disruption. For this particular
9 project, we focused on a few areas within DILIsym:
10 pharmacokinetics, and of course oxidative stress
11 were key mechanisms, and of course the turnover
12 and potential death of cells and the relationship
13 to biomarkers that would come out. To do this
14 project, we went through different steps that are
15 not atypical for a DILIsym application.

16 **BH#8:** First, was gathering of laboratory data
17 and experiments to understand the mechanisms. In
18 this case, the key mechanisms that came out of that
19 data were electron transport chain inhibition and
20 oxidative stress being caused by the compound.
21 And those endpoints were assessed in hepG2 cells.

1 We built a compound profile for this compound in
2 DILIsym and simulated some of their early clinical
3 studies. So, these were studies they had already
4 run. We ran the simulations and we got, for the
5 most part, very good qualitative agreement with
6 their studies. We had issues at the higher dose
7 levels in the simulations, but no issues at the
8 lower levels. But the simulations didn't
9 correlate spot on. As we typically do, if we have
10 clinical outcomes data, we combine that with our
11 in vitro data to get the dose response as close as
12 possible to what they saw in the clinic. And then
13 we move forward to look at what might be safe for
14 future studies to extrapolate to unanswered
15 questions, really. So, we went through this
16 process. In addition to that, we also wanted to
17 apply this to a number of different simulated
18 individuals, not just sort of an average person,
19 which we know doesn't truly exist. And do to this
20 we used what we call our SimPops or our populations.

1 **BH#9:** There are a number of different
2 parameters that are varied in the population we
3 used. They include areas such as oxidative stress
4 production and how the body handles that stress,
5 apoptosis, mitochondrial dysfunction pathways,
6 and others. For each of these parameters, imagine
7 there is a distribution, based on the literature.
8 And when we pull that parameter out from these
9 distributions and put them altogether, you have a
10 simulated virtual human.

11 **BH#9:** We have 300 distinct simulated humans
12 for this project and we actually ran each simulated
13 human at three dosing levels or three exposure
14 levels to incorporate sort of PK variability in
15 sort of an estimated way. So, we ended up with 900
16 distinct simulations for what I am going to show.

17 **BH#8:** First we looked at seven or so subjects
18 per group in these phase 1 studies, and we had 900
19 simulations per group. So, as you can imagine, our
20 tails are a little larger. So, just keep that in
21 mind.

1 **BH#10:** What you will see in this table here
2 across the top, to the right-hand side of the table
3 are our simulated ALT elevations. And then the
4 overall minimum percent of hepatocytes that were
5 viable. To interpret that, it is the worst case
6 scenario that we saw out of the 900 people we
7 simulated. The lower that number, the more liver
8 that was lost in that worst case person. The little
9 circle in blue denotes that we incorporated, if you
10 like, an in-silico physician. A component in
11 these simulations was that when we hit stopping
12 criteria that they had defined in their clinical
13 studies, we stopped dosing just like they did in
14 their clinical studies. What you see here are the
15 results that I showed before on the left for the
16 left two columns, which is their data. But then
17 on the right you see our simulated dose response.
18 And so we see, by and large, fairly good agreement
19 between the simulations and the data. We saw
20 increasing ALT elevations as dose went up and
21 increasing severity. And we predicted a severe

1 liver injury event at the highest dose level, if
2 they had dosed out to 900 people. In addition to
3 this, we did see within the simulations apoptosis
4 and necrosis present based no oxidative stress as
5 a mechanism. This fit well with the cleaved
6 cytokeratin 18 levels that were measured before.

7 **BH#11:** In terms of dynamics for the time course
8 we were predicting, we saw changes that were very
9 similar to what they saw in patients. This is one
10 example of a particular infusion length and dosing
11 time. And you can see the black arrow at the bottom
12 shows when they had to stop dosing, and then we had
13 to stop dosing in the simulated study. So, the
14 dynamics were fairly similar as well.

15 **BH#12:** The first question they asked was what
16 was the margin safety above their predicted
17 efficacy level of a predicted dosing level. So,
18 the part of the table highlighted in black shows
19 their target dosing level, which was 1x and the
20 medium infusion length. And in their early
21 clinical study, they saw no ALT elevations, no

1 issues. We saw a very few number of ALT elevations
2 and no significant DILI events. Within the
3 simulations increased that and looked for the
4 margin. We saw serious liver injury at three times
5 the dosing level. So, it seemed like the
6 simulations would at least suggest that there was
7 a three-fold margin of safety for the compound.
8 However, without monitoring, there was a lower
9 margin of safety. So, that was one key component
10 of this is that we sort of reinforced or quantified,
11 I guess you would say, the importance of monitoring
12 in this scenario.

13

14 **BH#13:** We then went on to look at these
15 individuals and to isolate the effects of why some
16 simulated humans were responding and some weren't
17 to this treatment. And some of the things that
18 fell out of that were their ability to respond to
19 oxidative stress, their propensity for caspase
20 activation but also body weight or exposure. And
21 so that is pretty intuitive. You have a dose

1 response or a dose-dependent DILI event exposure
2 would be an important component.

3 And so one of the things that we then went on
4 to do for this simulation project was to help them
5 assess, quantify the importance of potentially
6 dosing on a body weight basis. In the same patient
7 setting, you could imagine that you could give
8 smaller individuals less drug and larger
9 individuals more drug, and actually adjust your
10 dose for the individuals. And because this is
11 infused, it is certainly not as complicated as if
12 it was in oral form.

13 **BH#14:** So, we went on to do those simulations
14 prior to them having conduct the clinical study.
15 So, we first suggested the weight, the dosing for
16 the weights of the individuals that we were
17 simulating. We normalized it at a 78 kilogram
18 individual and then we extrapolated out with that
19 weight-adjusted strategy. So, again, smaller
20 individuals getting less, larger individuals

1 getting more, and the margin of safety went up to
2 4.5-fold.

3 So, it shows that perhaps this strategy
4 combined with monitoring could help, given a little
5 bit more safety margin and a little more
6 comfortable. The things that we did really here
7 were help identify the mechanism for injury, which
8 we think is oxidative stress, or at least that is
9 what we would suggest, and also help optimize the
10 right dosing level with the right monitoring
11 strategy and dosing strategy, in this case, a
12 weight-adjusted dosing strategy.

13 But some of the things that came out along the
14 way for this project really relate back to the
15 biomarker issue. In this project and some others
16 as well, we are seeing really early assessments of
17 some of these novel biomarkers in phase 1 studies.
18 So these cleaved cytokeratin 18 and full-length
19 keratin 18, miR-122, HMGB1, the things that have
20 been discussed today. And you can see our
21 simulated values for these biomarkers here.

1 **BH#15:** One interesting thing, first of all, as
2 I pointed out, the cleaved cytokeratin 18 supported
3 the mode of cell death, which was important, I
4 think, for the company to understand the mechanism.
5 But also you may have noticed that there were
6 scenarios in our simulations where hepatocytes
7 were lost but no ALT elevations were predicted.
8 And this is because the mode of cell death at those
9 low levels of hepatocyte loss were primarily
10 apoptotic.

11 The hypothesis is that perhaps there are
12 levels of cell death that are so low with apoptosis
13 that you wouldn't see ALT rise, and cleaved
14 cytokeratin 18 might be more sensitive in that
15 scenario. We found ourselves addressing questions
16 and asking questions, such as how should markers
17 like cleaved cytokeratin 18 be applied clinically.
18 First of all, is apoptosis a good thing or a bad
19 thing? I think these have presented some
20 interesting data that suggest that at least in low
21 dose acetaminophen scenario apoptosis is a better

1 outcome than necrosis. But by and large, there are
2 arguments or discussions you could have on both
3 sides of that coin.

4 Are there any stop-rule applications to be
5 implemented for some of these new biomarkers?
6 There was a question earlier about special
7 populations in miR-122. And then also what might
8 be the clinically relevant levels of these markers?
9 We know with ALT and AST there is a lot of empirical
10 clinical experience that is brought to the table
11 for those questions but not with these newer
12 markers. And sometimes in these early phase 1
13 studies, decisions are being made and these
14 questions are on the table.

15 The only point here I am going to address
16 today briefly is the last one, and put forth a
17 strategy to think about for how we are trying to
18 perhaps address this issue of clinically relevant
19 levels.

20 **BH#16:** To do that, I am going to show this
21 schematic here, where we have on the top a number

1 of different gray shapes, representing
2 hepatocytes. And just imagine that the baseline
3 ALT in an individual, at least in our model, is 30
4 U/L. If we induce the process in a simulated
5 environment to raise the ALT from 30 to 60, a
6 two-fold change, we can then count the exact number
7 of hepatocytes in the simulation that it took to
8 get that change. And then we can go and kill the
9 same number of hepatocytes via apoptosis and
10 determine how much cleaved cytokeratin 18 was
11 released in that scenario. By doing that, we can
12 assess a number of different cell death levels and
13 determine sort of "equivalent" fold changes for
14 cleaved cytokeratin 18 on the right in the blue
15 table here, as a corollary to the ALT fold changes
16 on the left. You can take the exact numbers with
17 a grain of salt, because we are still working
18 through this cytokeratine-18 model within DILIsym
19 and pulling together datasets like this from
20 clinical studies where we can get really nice
21 datasets. But the concept is that we can use this

1 simulation tool to help draw parallels between what
2 an ALT level might look like and what at least a
3 cell death-relevant level of cleaved cytokeratin
4 18 might look like.

5 With the understanding the ALT is an
6 imperfect marker, should we correlate with ALT?
7 That is another question. But at least it is a
8 starting place for how a group developing a drug,
9 a physician might think about an ALT or cK18 level
10 and what it means for cell death and for the liver.
11 Of course, fold-changes aren't going to correlate
12 properly because the baseline levels are totally
13 different for these markers.

14 **BH#17:** Some of the questions that we have been
15 left with in several of these projects is should
16 emerging biomarkers be assessed in a clinical trial
17 setting as early as phase 1 and how should data be
18 interpreted when considering the different modes
19 of cell death; and the inactivation with respect
20 to the patients in these studies and at these study
21 sites; and then what levels of cK18 should be

1 flagged as significant. And we have tried to
2 address this within the DILIsym Consortium early
3 on but we are still just starting out.

4 **BH#18:** I want to thank the conference
5 organizers for the chance to give this talk, the
6 sponsor here who graciously let us present this
7 while they are still working through this problem,
8 and our members who continue to support our work.
9 So, thanks a lot.

10

11

1 **Discussion Session IVB-2**

2 DR. SZABO: Thank you for the great
3 presentation. Any questions? Let me ask a very
4 naive question. How stable is the cytokeratin 18
5 level in the blood?

6 DR. HOWELL: My understanding from people
7 such as Dan, with whom I have had conversation, is
8 that it is very stable. I believe the half-life,
9 in terms of its natural clearance, is similar to
10 ALT. And I think it is pretty stable in storage
11 samples but if any of the experts out here disagree
12 with me, speak up on that.

13 DR. SZABO: Okay, Dr. Urban.

14 DR. URBAN: Hi, Tom Urban at UNC. Thanks,
15 Brett, for a very interesting talk. I wondered if
16 you probably know Fischer-Amari and published or
17 not, have published extensively on genetic
18 polymorphisms in cytokeratin 18 that seem to be
19 increased frequency in patients with acute liver
20 failure or other types of liver disease, not for
21 DILI. But I wondered, do you have DNA from these

1 patients in this program that could be sequenced
2 for mutations in keratin 18. And what is your
3 guess as to whether that might explain some of what
4 you are seeing?

5 DR. HOWELL: That is a good point. They do
6 have samples from the studies. I'm not sure if
7 they have samples from all of the studies. I know
8 they have samples from one of the early -- one of
9 the time course studies that I have shown. So,
10 that is a good idea, something that we could ask
11 them about and maybe open to sort of a genetic
12 analysis. That's a good point.

13 DR. SZABO: Last question, Dr. Regev.

14 DR. REGEV: Thank you. Excellent talk. As
15 we know, NAFLD is not really the most common liver
16 disease in western countries. And as we know in the
17 UK we have this very strong association with NASH.
18 And I was wondering how does that play, how do you
19 reconcile that in your assessment?

20 DR. HOWELL: That is a good point. That we
21 haven't addressed it yet is really the short

1 answer. But it is something that, as we start
2 building special populations, we are going to have
3 to address for all these biomarkers, namely what
4 is a relevant level? And it is relevant to all of
5 the conversations that have gone on today. But
6 what are the relevant levels and the fluctuations
7 in those markers for those populations? So, it is
8 something that is definitely on our radar that we
9 have to take into consideration.

10 DR. SZABO: Thank you Dr. Howell The next
11 talk is Dr. Minjun Chen and he is going to talk about
12 the Rule of 2: Do drug properties predict DILI?

13

14 **Minjun Chen photo, biosketch, abstract**

15 **MC#1:** Good afternoon, everyone. First,
16 thanks for inviting me here to introduce our work.
17 I will talk today a little about the LDKB work. I
18 am a toxicologist or I can say bioinformaticist,
19 not a clinician. So, I will give you from my
20 perspective whether drug properties can predict
21 drug-induced liver injury.

1 **MC#2:** We have talked many times in the DILI
2 field. One big challenge, I think is the lack of
3 a reliable predictive model. Especially today, we
4 don't have a good animal model predict to predict
5 human effects.

6 **MC#3:** As we know, FDA still relies on the
7 high-dosing healthy animal study. This study
8 still can only identify 50 percent of DILI
9 problems. This technology was developed more than
10 50 years ago, so we need some new technology to
11 improve predictions today.

12 **MC#4:** So, we developed a project called the
13 liver toxicity knowledge base. And this database
14 provides a better predictive model. We have put
15 some of the collected data in the particular model
16 to a public domain. We can either use a LTKB such
17 as Google to find that.

18 **MC#5:** This slide gives you some more idea what
19 data we have in our database. And basically, we
20 have collected about 3,000 drugs. And basically
21 these drugs, including almost all the academia

1 drug, drugs that were pulled by the other agencies.
2 Basically this we started to collect the human data
3 and the non-human data or we collect part of the
4 data. For the human data, we tried to collect all
5 kinds of the DILI-related information, especially
6 we have it noted as a DILI risk associated with the
7 drug. For the drug property data, we also collected
8 each drug from the chemistry property. DILI
9 markedly related individual assay or some whole
10 special biology risk poles using microRNA data or
11 this other data.

12 **MC#6:** At the end of the day, we tried to
13 correlate these drug properties with human data,
14 build a particular model. This is our goal to do
15 the project. To develop a particular model, we need
16 to list the drug have known DILI positive and DILI
17 negative. The amount of the DILI drug in this model
18 is to develop all kinds of translational
19 biomarkers.

20 We tried all kinds of approaches. Finally we
21 found that drug labels are good enough to serve our

1 purpose. The drug label, basically, is an
2 information tool. It provides certain data to the
3 doctor and the patient. By the way, the FDA should
4 inform the patients about the drug label.

5 **MC#7:** We agree that the drug label is not
6 perfect but it might be the most consistent, best
7 information we can have to help us codify the drug.

8 **MC#8:** We published a paper several years ago,
9 describing our approach using drug labels to
10 identify DILI drugs. The drug label has three
11 sections to disclose a DILI risk: Box Warning,
12 Warnings & Precautions, and Adverse Reactions.
13 Dr. Temple discussed drug label a bit yesterday,
14 so I don't want to repeat today. If you are
15 interested, go to our 2011 paper (Drug Discov Today
16 16:697-703, and get more details. This approach,
17 classified each drug into most concern, less
18 concern, and a non-DILI concern.

19 After we had risk classification by labeling
20 and we know the drug is a DILI drug or a non-DILI
21 drug, we then go to our LTKB data.

1 **MC#9:** We tried to develop some predictive model
2 based on our drug property data. The data we thought
3 about was the daily dose, because most of the DILI
4 drug we know was given -- but the daily dose alone
5 basically is not predicting now because we know
6 many signature, also given the 100 milligram.

7 We thought about whether we could we find some
8 other way to help. The LTKB database finally found
9 that lipophilicity can also help for this purpose.
10 If you could use, the DILI we are marking here, we
11 found if the drug dose was more than 10 mg, then
12 there was toxicity. Most non-DILI drugs got
13 kicked out. Because of the rule of 2 there is a
14 significant association with DILI risk.

15 **MC#10:** I show you some more examples to
16 demonstrate the Ro2, using drug pairs. Drug pairs
17 are basically two drugs capable of causing the same
18 or similar effect and have similar structures, but
19 show toxicity differences. For example, alpidem
20 and zolpidem, two drugs with high logP, greater
21 than 3. but alpidem had a much higher dose. Now

1 look at troglitazone and two other glitazones:
2 troglitazone, has a larger logP greater than 3 but
3 only troglitazone had a much higher dose than the
4 pioglitazone or rosiglitazone. Another example is
5 bosentan. Dr. Temple mentioned yesterday this
6 drug was also a RO2-positive drug. Its daily dose
7 is 400 milligram, and AlogP also greater than 3.

8 **MC#11:** We also show that logP helps in other
9 cases, for example, tolcapone and entacapone.
10 Those are drugs that have high doses but only
11 tolcapone has the much higher logP. The same
12 applies to nefazodone and trazodone.

13 But we don't say that RO2 always works. The
14 RO2 only has limited sensitivity, about 30 to 35
15 percent. We have some false negatives, and false
16 positives, for example, trovafloxacin, a drug we
17 know was withdrawn. The daily dose is about 200 mg
18 but logP is very low.

19 **MC#12:** We wanted to know how to work on all
20 FDA-approved oral drugs. So we collected all
21 drugs approved by FDA before 2010, 748 oral drugs.

1 And of these we had 168 drugs with most DILI-concern
2 in labeling, but Ro2 identified only 72, about 43%
3 sensitivity. Next, 193 drugs with no DILI-concern,
4 of which only 11 drugs were ALT positive. That
5 means that specificity was about 95%. There were
6 387 drugs of less DILI concern, but we only
7 identified 13% as ALT positive.

8 **MC#13:** We also wanted to know whether the Ro2
9 could help us identify drug failures in clinical
10 trials or in drug development. Interestingly, in
11 this model, Dr. Regev presented a drug with daily
12 dose of 225 mg and AlogP of 3 to 4, a RO2-positive
13 drug, a drug we discussed this afternoon. In this
14 other drug, they had a daily dose of 120 mg and logP
15 is 4.1, another RO2-positive drug. So, both drugs
16 discussed today were RO2-positive.

17 You can see some more examples here,
18 collected from the literature. but some are RO2
19 positive, some negative. But anyway, it shows
20 that RO2 can identify some of the hepatotoxic drugs
21 during drug development. We also want to call

1 industry to study the failing drugs more, to learn
2 if they can help give us a better predictive model.
3 We know RO2 has limited sensitivity and we are
4 trying to incorporate some more related data.

5 **MC#14:** And finally, in this paper we use a
6 high-content screen assay to improve sensitivity
7 from 30 percent to 50 percent.

8 **MC#15:** Going to the question John asked me:
9 Are drug properties or host factors predictive? I
10 think this cartoon is a very good answer to the
11 question. In this cartoon, there are blind people
12 who want to know what an elephant looks like. The
13 first time, they don't agree because they are
14 concentrating on a different part of the elephant.
15 But very interesting, at the end of the story,
16 original story, these blind men stopped talking and
17 they started listening and collaborating. And
18 then they envisioned the whole elephant.

19 So, we have some blind people discussing our
20 chemistry. If we were to figure out what the data
21 looked like, at least addressed, we proposed DILI

1 basically an interaction between the drug property
2 and the host factor. Drug properties and host
3 factors work together to initiate cellular injury.
4 In the individual patient, the host factors will
5 contribute to the individual response and then
6 finally determine the final outcome. So, I suggest
7 considering in a DILI case not only the host factors
8 but maybe also the drug properties, to help you
9 understand what DILI is.

10 **MC#16:** Overall, we believe that drug
11 properties and host factors together contribute to
12 DILI prediction, DILI development.

13 Although LTKB has collected diverse
14 DILI-related drug property data, it can be helpful
15 for understanding. We have developed a predictive
16 model. A comment from Dr. Kaplowitz was that R02
17 has added value to predict idiosyncratic DILI. We
18 also believe if we incorporate more data. It can
19 be improved. We still have a long way to go to make
20 a better predictive model.

1 **MC#17:** Finally, I want to thank the many people
2 who helped me on the LTKB project, and especially
3 the LTKB interest group. And also we thanke many
4 people in this room. Especially I want to thank
5 our collaborator Dr. Jurgen Borlak from Germany and
6 my colleagues at NCTR. Thank you so much.
7
8

1 **Session Discussion IVB-3**

2 DR. SZABO: Thank you, Dr. Chen. Any
3 questions from the audience?

4 PARTICIPANT: I was wondering. Did you also
5 incorporate it all in assessment of basicity, most
6 basic PKA? We have done a similar analysis at
7 Lilly and found that you also need to look at how
8 basic the molecule is, especially when you are
9 talking about phospholipidosis risk and DILI
10 associated with properties leading to accumulation
11 in tissues and high volume of distribution is the
12 other thing that we have noticed is correlated with
13 toxicity.

14 DR. CHEN: Yes. Our LTKB we also collect all
15 the PD/PK that you mentioned about and we tried to
16 also correlate this the PD/PK pattern with the
17 DILI, the DILI drug and non-DILI drug which one can
18 accomplish it. The company is still working with
19 that. Our database is still in development. We
20 know the drug properties and put it in our database.
21 And finally, we correlate not only work on the whole

1 population DILI risk maybe overall, maybe
2 correlate other people didn't have, for example,
3 it is come today that immune-related DILI, you know
4 we basically hepatitis is the drug property can
5 contribute this DILI.

6 DR. SZABO: Thank you, very much. Thank
7 you. Okay, moving on to the last talk and the topic
8 is transforming monocytes into hepatocyte
9 surrogates. It is a very exciting topic and
10 Doctors Gerbes and Benesic will present it.

11

12

13 **Gerbes photo, biosketch, abstract**

14 **AG#1:** Thank you very much. First of all, I
15 would like to thank the organizers, in particular,
16 Drs. Senior and Dr. Watkins, for inviting us to this
17 exciting conference and for the challenge of giving
18 the final presentation.

19 **AG#2:** I will just give a short background
20 about the rationale for our cell model, in order
21 to set the stage for Dr. Benesic then to provide

1 what we think are the very interesting data from
2 our clinical pilot study.

3 **AG#3:** Why start with monocytes? Monocytes
4 seem to be important for hepatic repair in the
5 rodent models of acute liver injury due to
6 paracetamol. Moreover, monocytes may be capable
7 to transform into hepatocytes, as shown from
8 previous data suggesting that cells with
9 hepatocyte-like functions can be generated from
10 peripheral monocytes.

11 **AG#4:** We used EDTA-plasma and separated
12 monocytes by gradient centrifugation and adherence
13 separation. These cells then underwent a 10-day
14 culture with a proprietary protocol, as shown on
15 the slide. The resulting cells, which we called
16 monocyte-derived hepatocyte-like cells, MH cells,
17 were characterized in particular in view of
18 hepatocyte properties. Interestingly, these cells
19 can synthesize urea and coagulation factors. They
20 have metabolic properties such as cytochrome P450.

1 For the sake of time, I am not going into
2 detail here but I just would like to show you
3 interesting results that we obtained when we had
4 the opportunity to obtain primary human
5 hepatocytes from three subjects.

6 **AG#5:** We compared properties of these primary
7 human hepatocytes with monocytes of the same
8 subjects and with MH cells generated from
9 monocytes. I show you here two interesting sets of
10 research. This is a gene expression profile, of
11 270 mostly ethnic genes. Not surprisingly, as you
12 can see, on the left illustration, the gene
13 expression profile of monocytes was similar to the
14 primary human hepatocytes. However, following the
15 cultivation process, the MH cell gene expression
16 profile resembles much more closely that of primary
17 human hepatocytes in this same individual.

18 **AG#6:** Possibly more important are the
19 metabolic properties. We also found similarities
20 in cytochrome P450 activities. Here is an
21 example, the highly variable CYP2C9 and, again, the

1 left part of the illustration shows the basal
2 activities and rifampicin-reduced activities in
3 these three donors. And as you can see, the
4 profiles of the MH cells resemble those of the
5 primary hepatocytes. These and other exciting
6 findings suggested to us that possibly these MH
7 cells could reflect individual hepatocyte
8 properties of these subjects. This prompted us to
9 investigate if this could be a model to reflect
10 individual DILI.

11 AG#7: The next figure shows you a typical
12 spider web, as we illustrate the data. We exposed
13 these MH cells for 48 hours to various drugs in
14 different concentrations. The circle shows the
15 upper limit of normal; any signal outside reflects
16 toxicity. The readout is LDH release. You see a
17 negative control, just medium, and a positive
18 control with cell lysis, and paracetamol in
19 different concentrations as functional positive
20 controls. Exposure to different drugs revealed no

1 signal for diclofenac or pantoprazole, but a clear
2 signal for the higher dose of omeprazole.

3 **AG#8:** As all of you know, DILI is a rare event.
4 So for any test, you need very high specificity.
5 We typically compare the toxicity signal in the
6 index patient with the signal obtained in numerous
7 healthy subjects. We have data from almost 100
8 drugs, tested in cells from more than 150 subjects.
9 So, we thought it was about time to look for a real
10 world test, so we set up a clinical trial that will
11 be presented to you by Dr. Benesic.

12 **Benesic photo, biosketch**

13 **AB#9:** Thank you, Professor Gerbes. The aim of
14 this study was to investigate, if we generate these
15 cells from patients with drug-induced liver injury
16 or other acute liver injuries, if these cells might
17 be able to help with the diagnosis and more
18 importantly, to make causality assessment. In this
19 study, we had patients that were treated with at
20 least one drug and had acute liver injury that was
21 defined as ALT at least five times upper limit of

1 normal, or AP two times upper limit of normal, or
2 the combination of ALT three times and bilirubin
3 two times upper limit of normal. The patients
4 underwent diagnostic workup, laboratory testing,
5 biochemistry, virology, immunology, imaging, and
6 histology where available. For all these patients
7 and the drugs involved, we calculated a RUCAM score
8 and made a clinical assessment using drug signature
9 and the history. From patients, MH cells were
10 generated and toxicity testing was performed with
11 all the involved agents, done independently of
12 causality assessment.

13 **AB#10:** This slide just shows how the diagnosis
14 of DILI was made in the study. You all know that
15 diagnosis can be very challenging. We used a
16 combination of the exclusion of other causes for
17 drug-induced liver injury and, where available,
18 typical drug signatures, for example, using the
19 LiverTox website. We came up with a classification
20 that is quite similar to the one used by DILIN.

1 **AB#11** These are the results. We had 31
2 patients with iDILI and 23 with other causes for
3 acute liver injury. This slide shows that the two
4 groups did not differ significantly for
5 demographic characteristics, and the predominant
6 pattern of liver injury was hepatocellular.

7 **AB#12:** Drugs with the highest causality
8 likelihood in the iDILI group were NSAIDs, oral
9 anticoagulants, anti-thyroid and anti-infective
10 drugs, immuneodulators, and antipsychotics.

11 Well, the diagnosis was either unequivocal DILI or
12 unequivocal liver injury from another cause. And
13 MH toxicity was present in 10 of 11 iDILI patients
14 with unequivocal diagnoses and we have no signal
15 in 12 non-DILI patients.

16 **AB#14:** Then we looked at the total study
17 population. And in the total study population,
18 the drug with the highest causality likelihood in
19 each patient was tested. On the right-hand side,
20 MH toxicity was seen in 29 of the 31 DILI patients
21 showed positive results with MH toxicity; two were

1 missed. In the non-DILI cases, there were no
2 positive results.

3 On the left-hand side, the RUCAM score; 29
4 were identified by the RUCAM score; 2 cases were
5 missed but these were not the same two cases as in
6 the MH cells. But the RUCAM scores showed a
7 relevant number of false positive results.

8 **AB#15:** Then we did the litmus test. You
9 probably know it can be very challenging to make
10 causality assessment in patients taking several
11 drugs.

12 **AB#16:** We analyzed in this busy slide all drugs
13 that were taken in the total population of our
14 patients. So, these were altogether 103 different
15 drugs in the iDILI group and 68 drugs in the
16 non-DILI group. On the left-hand side, the RUCAM
17 score, as you see, we had 11 cases that are definite
18 DILI that are all identified by RUCAM. And in the
19 unlikely case or the non-DILI case, RUCAM performs
20 quite well. It gives mostly correct results. But
21 the more ambiguous the diagnosis is, the worse the

1 performance of the RUCAM scores, which was quite
2 expected.

3 On the right-hand side, the results from the
4 MH toxicity showed mostly correct results. Only
5 2 false negatives. I showed these in the slide
6 before. And 4 patients showed false positive
7 results.

8 This suggests to us that maybe this model
9 could help in causality assessment for DILI in
10 cases that are not so clear.

11 **AB#17:** To summarize, our data suggests that
12 monocytes can acquire some hepatocyte properties
13 in vitro and it seemed to reflect donor-specific
14 characteristics.

15 In this pilot study, there was higher MH cell
16 toxicity when the cells were derived from iDILI
17 patients, compared to patients with non-DILI acute
18 liver injury or healthy donors.

19 Thus, MH cells might offer the possibility to
20 assist with a diagnosis of iDILI and causality
21 assessment, especially in more ambiguous cases.

1 Ongoing research further characterizes the
2 model using omics technologies and for sure, we
3 need further data from more patients and especially
4 those who tolerate the potential iDILI drugs.
5 Thank you very much for you attention.

6

7 DR. SZABO: Thank you for this provocative and
8 really exciting story. Have you tested the effect
9 of drugs on monocytes of these individuals without
10 pushing them towards hepatocytes?

11 DR. BENESIC: Yes, this was the beginning of
12 this work and we did many experiments with
13 paracetamol. And usually with paracetamol, you
14 don't get any effects. And we also have tested in
15 some cases the monocytes of the patients and there
16 was no reaction.

17 DR. SZABO: Questions from the audience?

18 PARTICIPANT: Were there any gender
19 differences?

20 DR. BENESIC: No. No, so the gender
21 distribution was quite equal.

1 DR. SZABO: Other questions? Yes.

2 PARTICIPANT: Have you been able to test for
3 cells that are normally found in the liver when you
4 have had these liver samples to see whether the
5 Kupffer cells, which are the monocytes that are
6 actually normally there, were comparable to the
7 cells that you are making with the MH cells?

8 DR. BENESIC: No, actually, not because the
9 hepatocytes we got already isolated so there were
10 no Kupffer cells.

11 PARTICIPANT: When you took the cells from
12 the DILI patients, when was that in the course of
13 the illness, and did that matter, and how
14 reproducible was that on sequential within the same
15 subject?

16 DR. BENESIC: Yes, thank you. Usually the
17 test was done or the blood sampling was done about
18 two or three weeks of the DILI event, after the
19 diagnosed event. We have some cases in which we have
20 sequential blood samples and the cell generation

1 for up to six months after the DILI event and we
2 could reproduce these data.

3 DR. SZABO: Last question from John Senior.

4 DR. SENIOR: Forgive me for not getting up.
5 I have a question for you but it may apply also to
6 what we have just heard from Doctors Gerbes and
7 Benesic. When the liver is injured by drugs, some
8 but not all of the hepatocytes are injured, release
9 enzymes and all that, and lose function but there
10 are cells that remain. You have heard talk about
11 exosomes, and we asked Jack about that this
12 morning. Do you think exosomes have a role in
13 adaptation, by sending messages from the injured
14 cells to the uninjured cells to change their
15 behavior and adapt, or even more to go out and send
16 a message to a monocyte telling it behave like a
17 liver cell, as a recruitment to reserves when you
18 are in trouble?

19 DR. SZABO: Very likely. There are data
20 from other fields suggesting that yes, indeed,
21 injured cells send out messages in about every

1 package in exosomes to activate immune cells or to
2 induce regeneration or suppress immune responses.
3 So, that is very plausible.

4 DR. SENIOR: And then do you have any idea how
5 that message is communicated?

6 DR. SZABO: Well, I think that probably
7 depends on the biological situation. Some of the
8 messengers could be HMGB1, microRNAs or other kind
9 of molecules that are packaged in the exosomes or
10 in the microvesicles. And that way, they can just
11 enter the cell in a receptor-independent manner and
12 express a functional activity on the target cell.

13 DR. WATKINS: As I recall, you need fresh
14 blood. Right?

15 DR. BENESIC: Yes.

16 DR. WATKINS: And how long does it take from
17 when I gave blood of a patient to when you have an
18 answer?

19 DR. BENESIC: Okay, so the generation of the
20 cells takes ten days. And if we do the test as

1 performed in the study, we incubate for 48 hours.
2 So, about two weeks.

3 DR. WATKINS: And again, you are looking at
4 standard toxicity endpoints in these cells.
5 Correct?

6 DR. BENESIC: Yes.

7 DR. WATKINS: So, the assumption is that
8 there is different machinery in those cells in the
9 susceptible cells than in the nonsusceptibles,
10 presumably mimicking differences in the
11 hepatocyte. Which is interesting in GWAS we are
12 not coming up with very few exceptions with
13 anything actually in ADAMI machinery and sort of
14 genes that have hepatocyte function is it is
15 epigenetic change over time that makes the ACTG
16 code less relevant. But I guess the assumption
17 would be that monocytes have the same epigenetic
18 changes as an hepatocyte.

19 DR. BENESIC: Well, we don't know this yet
20 because we have to look. We don't have the
21 explanations right now. What we think is that in

1 the course of drug-induced liver injury, perhaps
2 an initial trigger corresponds to hepatocyte
3 injury in these cells. And as I recall, it has been
4 described, for example in diclofenac, that there
5 are different changes in different phase 1 and
6 phase 2 enzyme activities that can result in
7 damage. So, this could be an explanation why
8 genotyping for metabolic genes wasn't effective in
9 identifying DILI patients.

10 DR. SZABO: Okay, thank you very much. I
11 really would like to congratulate Doctors Gerbes
12 and Benesic on this nice paper. Thank you. I
13 believe that with this we come to the end of the
14 conference. On behalf of the audience, I would like
15 to extend congratulation and sincerest thanks to
16 our organizers, Dr. Senior, Dr. Watkins, Dr.
17 Avigan, and Lana Pauls. I also would like to thank
18 the speakers and the audience for their active
19 participation. And I suppose we shall look
20 forward to having the meeting next year. Thank
21 you. (3:56 p.m.)