































































































1 by reaction with glutathione. So we depleted the  
2 glutathione. And there is actually a published  
3 study where they induced acute liver injury with  
4 amodiaquine. But they only got liver injury if  
5 they depleted glutathione.

6 **3.3#12 JU:** In this delayed onset injury, with a  
7 much lower dose, instead of increasing the toxicity  
8 it totally prevented the toxicity. So, a very  
9 different response, and I think that indicates a  
10 different mechanism.

11 **3.3#13 JU:** And so if immune tolerance is the  
12 issue, then maybe if we immunized animals with  
13 amodiaquine-modified hepatic proteins we could  
14 induce a stronger immune response and get more  
15 severe liver injury.

16 **3.3#14 JU:** And this is sort of a complicated  
17 slide, but in fact what we see, this is in  
18 unimmunized animals. And you see the mild liver  
19 injury. In the immunized animals there was no  
20 liver injury. And in fact, what we saw was an  
21 increase in myeloid-derived suppressor cells and  
22 T-regs.

23 **3.3#15 JU:** So, even when we immunized animals with  
24 drug-modified hepatic protein, what we induced was

1 immune tolerance. Even though we used Janeway's  
2 dirty little secret of an adjuvant we still got  
3 immune tolerance. It's really difficult to break  
4 immune tolerance in the liver.

5 **3.3#16 JU:** So, if that doesn't work, this was the  
6 time when checkpoint inhibitors were coming along,  
7 maybe we could block immune tolerance with  
8 checkpoint inhibitors. And the major ones are  
9 CTLA4 and PD1. And in fact, I've shown this slide  
10 before.

11 **3.3#17 JU:** In the wild type animals, adding  
12 antibodies against CTLA4 increased the injury, but  
13 it still resolves by continued treatment.

14 But in a PD1 knockout, when we add anti-CTLA4, we  
15 get sustained liver injury. In these animals, the  
16 histology looks almost normal, but in these animals  
17 we get piecemeal necrosis that looks very much like  
18 what happens in humans.

19 **3.3#18 JU:** And even though we've blocked immune  
20 tolerance, at least partially, the animals are  
21 trying to respond. In the PD1 knockouts there's  
22 a marked increase in T regulatory cells. And  
23 there's also an increase in cytotoxic T cells  
24 producing granzymes, et cetera.

1       **3.3#19 JU:** And we can block the liver injury by  
2       depleting CD8 T cells. So, this is what we would  
3       normally see. If we deplete CD8 cytotoxic T cells,  
4       we prevent the liver injury.

5       **3.3#20 JU:** And so we tried it with other drugs,  
6       with isoniazid. We don't get as much injury with  
7       isoniazid as we did with amodiaquine. Again, in  
8       wild type animals we see nothing. In the PD1  
9       knockout alone, we see some injury. And if we add  
10      anti-CTLA4, we get more injury. But it does still  
11      resolve.

12     **3.3#21 JU:** The same is true with nevirapine. In  
13     the PD1 knockout, we get some injury. If we add  
14     anti-CTLA4, we get even more injury. But it  
15     resolves despite continued treatment with the  
16     drug.

17     **3.3#22 JU:** We can separate troglitazone from  
18     pioglitazone. This is in the PD1 knockout. This  
19     is with an anti-PD1 antibody, which is not nearly  
20     as effective. With pioglitazone we see no injury  
21     whatsoever. So we can differentiate troglitazone  
22     from pioglitazone.

23     **3.3#23 JU:** And we've tried other methods to  
24     decrease immune tolerance. We tried the anti-Gr1

1 antibodies. If you remember, as presented  
2 yesterday, this markedly increases the  
3 halothane-induced liver injury. And we found that  
4 it did increase amodiaquine-induced liver injury,  
5 but when we combined it with the PD1 and CTLA4, it  
6 was actually antagonistic. So these things are  
7 complicated. Gr1 is expressed on many other cells  
8 besides myeloid-derived suppressor cells. So  
9 it's complicated. We've also tried  
10 1-methyltryptophan, which is an inhibitor of IDO,  
11 which Amy talked about. We tried anti-CD137. So,  
12 anti-CD137 directly activates T cells, and it's  
13 being developed, and we'll hear more about this,  
14 I think, this afternoon, to treat cancer. And the  
15 anti-CD137 alone causes liver injury. When we add  
16 amodiaquine, it doesn't further increase the liver  
17 injury.

18 **3.3#24 JU:** So, blocking immune tolerance, again,  
19 works, but stimulating the immune system does not  
20 potentiate the small molecule-induced liver  
21 injury. So, now that we have a model that I think  
22 represents, although somewhat artificial, a very  
23 similar mechanism of liver injury that we see with  
24 idiosyncratic DILI, we can test hypotheses. And

1 two other hypotheses that are very attractive are  
2 mitochondrial injury and BSEP inhibition. And so  
3 we should be able to, in the case of troglitazone,  
4 since now we see liver injury in this animal model,  
5 we can add another BSEP inhibitor and see if that  
6 increases the injury. That is what we would  
7 predict, if, in fact, BSEP inhibition is important.  
8 It's been shown in vitro that rotenone, an  
9 inhibitor of complex 1 of the mitochondria,  
10 increases isoniazid injury. Well, we can do that  
11 in vivo and see if, in fact, what was seen in vitro  
12 also occurs in vivo. And given the effect of  
13 metformin and other things I suspect it won't, but  
14 we'll see. We're interested in inflammasome  
15 activation and we've shown that some drugs that  
16 cause idiosyncratic reactions activate  
17 inflammasomes. But it would be very nice to be  
18 able to do this in vivo. And another I think  
19 important issue that we'll be talking about in a  
20 subsequent session is interactions with other  
21 drugs like vemurafinib. Again, there should be an  
22 interaction there, there is clinically, but not  
23 with the anti-CD137.

24 **3.3#25 JU:** Going back to what Mark Avigan asked

1 yesterday what are the risk factors in human?  
2 Obviously genetic factors are important. There  
3 are some reactions that have a very strict HLA  
4 association, especially with abacavir. But  
5 abacavir is fairly unique because with other HLA  
6 associations even if the patient has the  
7 appropriate HLA and are given the drug it's  
8 unlikely that they'll have an idiosyncratic  
9 reaction. And I suspect with drugs like isoniazid  
10 there won't be a strong HLA association at all.  
11 So, genetic factors are certainly important but  
12 they're not the whole issue. T cell receptors are  
13 different in even identical twins because they're  
14 produced by random recombination. But there are  
15 an awful lot of T cell receptors. Again, one would  
16 expect that activation of the immune system with  
17 inflammatory conditions would be a factor, but  
18 clinically and in models it doesn't appear to be.  
19 I think immune tolerance is important, but patients  
20 that have idiosyncratic reactions don't have the  
21 degree of impaired immune tolerance as our model.  
22 If they did, as Mark said, they would have reactions  
23 to all sorts of drugs and they don't. So although  
24 polymorphisms in IL10 and some other things are



1 weak predictors of increased risk I don't think  
2 that is the major issue.

3 **3.3#26 JU:** In fact, the immune system is a product  
4 of everything it's ever been exposed to. And  
5 something that I was not aware of until fairly  
6 recently was heterologous T cell immunity. So  
7 although there's an almost limitless number of T  
8 cell receptors, there are not a limitless number  
9 of T cells. You have a fixed number of T cells,  
10 and if you develop a new memory T cell to some new  
11 pathogen other T cells have to die. And so how can  
12 this limited number of T cells respond to all the  
13 potential pathogens out there? Well, if you think  
14 about it there are many ways that a peptide can  
15 interact with a T cell receptor. And so the same  
16 T cell receptor can recognize different  
17 immunogens, even if they're structurally  
18 unrelated. So this is how the immune system gets  
19 around the limited number of T cells, but it also  
20 means that if you're exposed to some pathogen that  
21 produces a strong immune response, and there are  
22 memory T cells, and you happen -- and those T cells  
23 happen to recognize a drug-modified peptide, even  
24 though it's structurally unrelated, you're in big

1 trouble. Now, the problem is I think hypotheses are  
2 only as good as you can test them. And I don't know  
3 how to test this hypothesis. I can't come up with  
4 a better explanation of why these reactions are  
5 idiosyncratic, what the major risk factor is, but  
6 I don't know how to test this hypothesis and that's  
7 a problem. And I should mention that there is  
8 certainly animal evidence that infection with one  
9 virus will affect the animal's response to another  
10 virus that's totally unrelated. So there's  
11 certainly experimental evidence to support this  
12 idea.

13 **3.3#27 JU:** The other thing, and Bob Temple did not  
14 like this idea, but if these reactions are really  
15 immune-mediated we should be able to treat them.  
16 And if we could treat serious idiosyncratic DILI  
17 it would markedly decrease its significance. I  
18 demonstrated that if you depleted CD8 T cells at  
19 least in our model that was protective. And I  
20 think most of the most severe idiosyncratic  
21 reactions, whether they be idiosyncratic DILI, or  
22 toxic epidermal necrolysis, are mediated by CD8 T  
23 cells. However, with halothane it looks like CD4  
24 T cells are more important. So, even though I

1 think CD8 cells are most important they may not be  
2 the whole story. Certainly the usual care for toxic  
3 epidermal necrolysis is IVIG. Steroids are often  
4 given, but they do not appear to affect mortality.

5           Liver injury is often treated with  
6 steroids, but with the exception of autoimmune  
7 hepatitis I don't think they're very effective.  
8 Will Lee did a study, it's not a controlled study,  
9 but I don't think steroids are all that effective  
10 in treatment. With aplastic anemia the treatment  
11 is a combination of antithymocyte globulin and  
12 cyclosporine. And whether it be drug-induced and  
13 immune-mediated in that way or idiopathic this  
14 treatment almost always works. And so if I was going  
15 to pick a treatment to start with it would be  
16 antithymocyte globulin and cyclosporine. Again, I  
17 think the most severe cases are mostly mediated by  
18 cytotoxic T cells, but there are probably  
19 exceptions to that.

20 **3.3#28 JU:** So, in conclusion I think, although  
21 still I don't think everyone agrees, that there's  
22 compelling evidence that most idiosyncratic DILI  
23 is immune-mediated. Mild idiosyncratic DILI is  
24 more common than serious idiosyncratic if the mild

1 injury, and certainly the same HLA association  
2 where there is an HLA association is involved in  
3 the mild injury as the severe injury. So I think  
4 the mechanism is related. If it's immune-mediated  
5 then the adaptation must involve immune tolerance.  
6 I think in the past most animal models have not  
7 represented the same mechanism as the  
8 idiosyncratic reaction in humans. I think the  
9 amodiaquine example is a good one. Impairment of  
10 immune tolerance leads to models of idiosyncratic  
11 DILI that are similar to what happens in humans,  
12 and I think we can now use this model to test  
13 hypotheses that we weren't able to test as  
14 rigorously before. In terms of risk factors,  
15 genetic factors are certainly important, but I  
16 think heterologous immunity may play a very  
17 important role in determining who's at risk. And  
18 again, I would plead for a controlled trial to treat  
19 serious DILI. I think it has a good potential to  
20 work and would really help a significant number of  
21 patients.

22 **3.3#29 JU:** And with that I will end, thank the  
23 people who actually do the work and thank you for  
24 your attention.

1 (Applause)

2 \_\_\_\_\_  
3 DR. REGEV: Thank you very much. This  
4 is fascinating stuff.

5 We have about 30 minutes for discussion  
6 and questions. And as people make their way to the  
7 microphone I have a question for you, Jack,  
8 regarding this model. Actually it's two  
9 questions. Now, we have seen quite convincingly  
10 that using those checkpoint inhibitors just on  
11 their own with nothing else may cause significant  
12 immune-mediated liver injury. So, using that as an  
13 addition to another drug, or another disruption of  
14 the cell cycle, and in an attempt to uncover another  
15 response how would you differentiate which one is  
16 due to the other drug, and which one is due to the  
17 checkpoint inhibitor?

18 DR. UETRECHT: Well, I think it's the  
19 combination. And a speaker in the next half will  
20 talk about the interaction with vemurafenib. And  
21 I think such interactions can be anticipated. And  
22 dosing schedules will have to be developed so that  
23 they're not given simultaneously. Hopefully that  
24 will ameliorate the problem, but we'll have to see.

1                   PARTICIPANT:     Hi, Jack.     So, my  
2                   question came after you showed the levels of  
3                   anti-P450 antibodies in certain patients. It  
4                   occurred to me, I mean those are patients that are  
5                   showing liver injury. But might a contributing  
6                   factor be patients who already have levels of those  
7                   antibodies preexisting through some other  
8                   mechanism? Because I mean there is evidence out  
9                   there that some people have those.

10                  DR. UETRECHT:    Well, certainly some  
11                  forms of autoimmune hepatitis have antibodies  
12                  against P450. In the control samples that we did  
13                  we didn't find them, but of course we didn't -- we  
14                  couldn't possibly check the patients before they  
15                  were treated.

16                  PARTICIPANT:    Right.

17                  DR. UETRECHT:    I think that's  
18                  unlikely, but I have no evidence so I can't be sure.

19                  PARTICIPANT:    It's a tough question  
20                  to answer. Well, thanks.

21                  DR. NORCROSS:    Hi, it's Mike Norcross,  
22                  FDA. So I've got a couple of criticisms and also  
23                  some comments. The first is if you don't find a  
24                  specific HLA link to a drug reaction it doesn't mean

1 really that HLA is mediating those responses in a  
2 non-linked fashion. In other words, other HLAs can  
3 present epitopes that are either haptenated, in  
4 this case probably after metabolism. So I'm making  
5 a point that most of these are probably  
6 HLA-mediated antigen presentation, and linkage is  
7 only in the specific ones where in some ways maybe  
8 a drug fits into a specific pocket like we found  
9 with abacavir.

10 The second thing I want to follow up with what  
11 Arie said. And I think that's something that I  
12 noticed in your papers that you publish. Most of  
13 it doesn't have anti-CTLA4 alone without the drug.  
14 I know you have maybe one figure out of a number  
15 of papers, but I think we'll hear this afternoon  
16 that the checkpoint inhibitors can actually induce  
17 an autoreactivity. And I noticed in the ones you  
18 showed today actually you always put the drug, and  
19 then drug plus antibody, and for some reason you  
20 leave out the checkpoint antibody by itself. And  
21 I'm not clear why you do that. I mean, I assume  
22 one time it doesn't work and then you don't have  
23 to repeat that. But in our experience, especially  
24 in mice we always do the control with whatever

1 antibody you're doing, even if it's just  
2 immunoglobulin.

3 The second point, or third point is the  
4 heterologous immunity. That's been proposed by  
5 others obviously with different drugs, that you  
6 have an antiviral response, it cross-reacts. But  
7 I make the point that I don't think you need memory  
8 -- I mean, you need memory cells. I'm not saying  
9 that I'm against that concept. It sounds great.  
10 You can get rapid responses. But as far as can a  
11 naive cell do this, yes, obviously, a naive cell  
12 can respond to neoantigens. For instance, in what  
13 we look at with abacavir, or even flucloxacillin,  
14 and it's been published, that you can develop in  
15 vitro responses in a normal person that has the same  
16 HLA. Those responses in general are coming from  
17 naive cells. You can have both naive and memory,  
18 but we're talking about an anti-drug response  
19 generated from a naive cell. So, it's not really  
20 clear you need memory. What the key element is  
21 that tips the balance in the liver is another story.  
22 We haven't really figured that out. But I'm just  
23 making a point that it's not all just memory cells.  
24 When you rechallenge, that's a different story.



1 You've got memory cells. For some reason or  
2 another they're not suppressed and they can respond  
3 very quickly.

4 So, do I have any other -- no.

5 DR. UETRECHT: But again in the liver  
6 the dominant immune response as Amy said is immune  
7 tolerance. And so if you haven't somehow primed the  
8 immune system before the immune response is going  
9 to be tolerance, and that's what we see. And so,  
10 yes, especially if it was in the skin naive T cells  
11 might work very well, but not in the liver.  
12 Because if the first exposure is in the liver which  
13 is what will happen with a reactor metabolite you  
14 get immune tolerance. Yes, we did do controlled  
15 experiments with antibody alone and you don't see  
16 anything. We have a problem in that the anti-PD1  
17 antibodies don't work nearly as well as the PD1  
18 knockout. And breeding up enough PD1 knockout  
19 mice is an issue in keeping up with the experiments.

20 DR. NORCROSS: But I think the key  
21 issue is when you use checkpoint inhibitors, even  
22 in your experiments, how do you know that's  
23 directed against drug? The drug may just  
24 accelerate the self-reactivity of this checkpoint

1 inhibition. So I'm asking even a broader question.  
2 You use drug to initiate this, but how do you know  
3 what you're seeing has anything to do with drug?  
4 How do you know they're directed against the drug  
5 itself, rather than self?

6 DR. UETRECHT: Well, certainly it's  
7 conceivable, although I would propose unlikely  
8 that the drug is somehow -- especially when we're  
9 talking about multiple drugs, that the drug is  
10 somehow initiating an immune response unrelated to  
11 the fact that it forms a reactive metabolite. I  
12 can't say that that's impossible, but it seems like  
13 a much less likely hypothesis.

14 DR. REGEV: Thank you. Terry?

15 DR. WRIGHT: Terry Wright, Genentech.  
16 A question for Dr. Bonkovsky. I was interested in  
17 your observation of autoimmune hepatitis  
18 associated with interferon. And my question  
19 relates to -- first of all, how you define that in  
20 the setting of a patient who almost certainly has  
21 hepatitis B and hepatitis C, number one.

22 Number two: is there a different in risk  
23 depending on whether patients are hep C positive  
24 or hep B positive?

1           And number three, if there is a liver disease  
2 associated with the drug itself do you think that  
3 could have a role in the non-response or the disease  
4 associated with non-response to interferon?

5           Because most of our trials you know have been done  
6 uncontrolled -- we haven't had uncontrolled  
7 comparators. We've basically treated patients.  
8 We've looked at the response and non-response. We  
9 haven't actually looked at the never-treated  
10 patients in terms of their liver disease.

11           DR. BONKOVSKY: Well, fortunately it's  
12 relatively rare, and of course we're not generally  
13 using interferon for treating viral hepatitis in  
14 the new era of direct-acting antivirals. But it  
15 certainly, you know -- it's hepatitis with  
16 autoimmune features. Now, of course autoimmune  
17 features occur in a minority of patients with  
18 hepatitis C as well, and yet some of these older  
19 case reports from the annals and so on in the early  
20 years of interferon were pretty convincing that,  
21 yes, in a few patients adding the interferon really  
22 did seem to trigger an autoimmune hepatitis  
23 response with all of the trimmings, with positive  
24 smooth muscle, and lots of plasma cells in the

1 inflammatory infiltrates and so on. With respect  
2 to the second question I think most of the evidence  
3 for this has come from the hepatitis C patients.  
4 I myself, I mean you know, many of us have treated  
5 more hepatitis C with interferon than hepatitis B  
6 so our experience is greater. I can't think of a  
7 single case that I've treated years ago with hep  
8 B who developed this. Maybe you've had that  
9 experience because I think you used to treat more  
10 Asians who have the higher prevalence of hepatitis  
11 B. I've sort of forgotten your third question.

12 DR. WRIGHT: Well, the second part of  
13 course is interferon is still being used for the  
14 treatment of hepatitis B. I gather that it's not  
15 being used for hepatitis C, but it is still being  
16 used for hepatitis B.

17 DR. BONKOVSKY: Right, right.

18 DR. WRIGHT: But, now the third is  
19 whether there could be a component, I don't know  
20 the mechanism of -- the sort of cytokine mechanism  
21 of disease, but could there be a contribution of  
22 that mechanism to liver disease in non-responders  
23 to interferon? Because our trials have looked at  
24 sustained responders and non-responders. Our

1 trials have not compared untreated patients and the  
2 disease associated with treatment in patients who  
3 don't respond. That's my question.

4 DR. BONKOVSKY: Yes, I think that's  
5 quite possible, but I just don't have sufficient  
6 data to be able to answer the question. If I could  
7 ask Jack a question. You know, we've talked a lot  
8 about adaptation. Have you studied that from an  
9 immune standpoint? What's your current view of  
10 what is actually going on when there's adaptation?  
11 You've shown all these things. Oh, they got better  
12 even though the drug was continued. What are the  
13 key factors there? Is this a reestablishment of  
14 immune tolerance somehow?

15 DR. UETRECHT: Well, certainly like  
16 with the amodiaquine what we see is an increase in  
17 T regulatory cells and myeloid-derived suppressor  
18 cells. And there's so many things in the immune  
19 system that you can investigate. We could do an  
20 almost limitless number of experiments. But  
21 certainly we see an increase in T regs and  
22 myeloid-derived suppressor cells. In the patients  
23 getting isoniazid we saw an increase in T cells  
24 making IL10. They weren't classic T-regs. And

1       unfortunately, unlike the animal experiments where  
2       we can look in the liver we're limited in humans  
3       to looking at peripheral blood. I'm sure there's  
4       a lot more going on in those humans if we could look  
5       in their liver. But there's clearly an up  
6       regulation. And even more so when we do things  
7       like use the checkpoint inhibitors. There's a  
8       huge increase in -- the immune system is trying to  
9       get back to that balance that Amy was talking about.

10               DR. REGEV: Can I catch right on this  
11       question just to follow up? I know you check  
12       mostly things that are related to immune tolerance.  
13       But do you also check anything that has to do with  
14       regeneration in your studies to explain  
15       adaptation?

16               DR. UETRECHT: There's certainly with  
17       amodiaquine some increase in turnover of cells, but  
18       that's sort of a different issue. We haven't  
19       studied changes in metabolism in the -- when we  
20       depleted glutathione we did not see any increase  
21       in covalent binding as you might expect. And I think  
22       what happens is when we depleted glutathione  
23       through different mechanisms we up-regulated other  
24       protective mechanisms like reductases that reduced

1 that oxidized reactor metabolite back to the parent  
2 drug. So overall we didn't see any change in  
3 covalent binding. The more surprising thing is that  
4 it actually prevented, and there's evidence that  
5 NK cells both -- there's evidence if we deplete NK  
6 cells in the mild injury we prevent the injury. And  
7 there's evidence that NK cells require glutathione  
8 to be active. And so I think what we've done is  
9 just hobbled the NK cells so they can't do what they  
10 would normally do. But that's speculation.

11 DR. SISTARE: Hi, Frank Sistare from  
12 Merck. I want to pick up on a line of investigation  
13 that Mike started. So Jack, it does seem like your  
14 fundamental hypothesis is that there's this  
15 covalent binding neo-antigen creation. But the one  
16 experiment you showed to sort of test that, the BSO  
17 experiment, came out the opposite way that you  
18 expected it to. With all the other experiments  
19 you've done now in these immune checkpoint type  
20 models, you've got troglitazone, you've got  
21 isoniazid, you've got amodiaquine, there's other  
22 things you could do to sort of regulate the level  
23 of that covalent adduct formation, inducers, other  
24 inhibitors of other pathways, that kind of thing.

1 Have you done any of those additional sort of tests  
2 to manipulate? You would expect the more you form  
3 or the less you form you would get a different  
4 reaction in the liver. I think that is fundamental  
5 to your hypothesis.

6 DR. UETRECHT: Yes. And as I  
7 mentioned when we looked at covalent binding, when  
8 we depleted glutathione it didn't go up as you might  
9 expect. And I think it was because of --

10 DR. SISTARE: Didn't go up, but you  
11 suppressed, if I understood it correctly, you  
12 actually suppressed the level of effect that you  
13 did have.

14 DR. UETRECHT: Yes. So we got  
15 basically the same amount of covalent binding, but  
16 it was protective. So you would expect increased  
17 covalent binding. We didn't see increased --

18 DR. SISTARE: You didn't see  
19 increased, but you at least preserved the same  
20 amount of reactivity to it. But it went the other  
21 way. And that's a complicated experiment,  
22 understood, yes.

23 DR. UETRECHT: It's hard to prove that  
24 a chemically reactive metabolite is responsible



1 for an idiosyncratic reaction. The one place where  
2 we were able to do that was not DILI. It was with  
3 nevirapine-induced skin rash. And the final  
4 pathway for covalent binding is making a reactive  
5 benzilic sulfate in the skin. And where we apply  
6 a topical sulfotransferase inhibitor we block the  
7 covalent binding and we block the rash where we  
8 apply that sulfotransferase inhibitor. And  
9 certainly now that we have an animal model it will  
10 be a little more difficult to manipulate the  
11 metabolism in the liver than it was with a very  
12 specific pathway in the skin. But again, I think  
13 the major benefit of this model is going to be to  
14 test these hypotheses.

15 DR. SISTARE: And I think also to  
16 Mike's point, to bring a lymphocyte transformation  
17 test into that model too, to show that is the  
18 antigen that's being created. It's not some other  
19 indirect mechanism.

20 DR. UETRECHT: And it's surprising,  
21 you know, the studies have been done and in general  
22 the lymphocyte transformation test is falsely  
23 negative in about 50 percent. It's surprising that  
24 it's positive at all because it's presumably a

1 drug-modified protein. And with isoniazid, not my  
2 work. With the mild injury it was only positive  
3 if you used a drug-modified protein. And if you  
4 don't know what the reactor metabolite is you can't  
5 modify the protein in the appropriate way. So as  
6 a general test --

7 DR. SISTARE: But even if you're  
8 successful 50 percent of the time that's still,  
9 that would be exciting I think. And also TNF alpha  
10 manipulation. Is that on your plan as well? To  
11 sort of manipulate to see if you can --

12 DR. UETRECHT: It wasn't. There's so  
13 many things that we can do I don't know sometimes  
14 where to start.

15 DR. REGEV: On the other side.

16 DR. OMOKARO: Stephanie Omokaro, FDA.  
17 I wanted to know is there anything known about  
18 patients with multiple drug allergies that could  
19 be applicable here? Are they more at risk for  
20 DILI? Could there be chronic or subacute forms of  
21 DILI going on?

22 DR. UETRECHT: There are such  
23 patients, but they're rare. In the drug safety  
24 clinic that I spent quite a few years at when a

1 patient came in and said they were allergic to all  
2 drugs red flags immediately went up. And I never  
3 found one that was. So, again, I think such patients  
4 do exist and they may have some genetic defect in  
5 their immune system that does, in fact, make them  
6 susceptible to multiple drugs. But that's not  
7 very common in my experience.

8 DR. BONKOVSKY: If I can add to that.  
9 In the Drug-Induced Liver Injury Network we now  
10 have about 1500 total patients enrolled in the  
11 prospective study. And there may be about 10, maybe  
12 not even 10 that had pretty good history of a prior  
13 drug-induced liver injury and they had it again  
14 from a different drug. There have also been a few  
15 that had it again from the same drug because they  
16 were reexposed to the drug. So it is rare. But  
17 about 50 percent of our patients give a history,  
18 oh you know, how good is a history and so on, of  
19 having drug allergy when -- at the time that they're  
20 enrolled. So it does seem as though maybe that's  
21 higher than if we just took a poll of everybody in  
22 this room how many of us have a history of drug  
23 allergy.

24 DR. REGEV: You did try to do that,

1 compare it to just a similar population? How high  
2 is the drug allergy history?

3 DR. BONKOVSKY: We do not have a  
4 control population that didn't develop DILI. That  
5 would be great, of course, to have.

6 DR. REGEV: Right.

7 DR. UETRECHT: And certainly there are  
8 polymorphisms in things like IL10 that can affect  
9 risk.

10 DR. REGEV: Neil?

11 DR. KAPLOWITZ: Jack, of course you're  
12 always very provocative. Gradually over the years  
13 you sort of wear me out. (Laughter)

14 DR. REGEV: Because he's usually  
15 right.

16 DR. KAPLOWITZ: Well, I don't know  
17 about that. But you know, I'm kind of intrigued  
18 by something you showed which is basically that the  
19 inhibition of the immune checkpoints seem to  
20 actually increase the initial injury. So that  
21 raises a question in my mind regarding the  
22 kinetics. Because the conversation has been sort  
23 of focused on adaptation, but it seems to me that  
24 it's very likely that this is sort of a dynamic

1 process that is concurrently both pro-immune and  
2 immune tolerance are battling each other from the  
3 get-go. So one could envision the outcome of that  
4 being absolutely no clinical phenotype, or a mild  
5 phenotype, or a severe phenotype, let alone the  
6 question of adaptation. So, I'm kind of curious  
7 about the kinetics of the development of tolerance.  
8 Is this something that starts right away, or does  
9 it follow a sequence?

10 DR. UETRECHT: You know, there's a  
11 limited number of times we could sample the  
12 isoniazid patients because we would just get blood  
13 with their monthly routine. And there's even a  
14 limited number of times we can sample the same  
15 animal. We certainly need to look at the  
16 evolution. Because it isn't just a specific immune  
17 response. I think that immune response evolves  
18 over time, and it's something that needs to be much  
19 more clearly defined than we've done to date. I  
20 think there's a lot to be learned there.

21 PARTICIPANT: Hi. I come from China.  
22 I have two questions to Jack.

23 DR. REGEV: If you came all the way from  
24 China you can have three questions.

1 PARTICIPANT: Thank you. I have two  
2 questions. One of the slides shows that the  
3 patients with milder DILI has increased in TH17  
4 cells. Is there some difference between the  
5 frequencies of TH17 cells between mild DILI and the  
6 serious DILI?

7 And question two is: what's your opinion  
8 about the T helper cells in the development of DILI.

9 DR. UETRECHT: The first one, you know,  
10 I don't even know in those six patients that had  
11 a small increase in ALT, I can't remember their sex.  
12 And six is small enough, I'm not sure that it would  
13 be that meaningful. Some DILI is more common in  
14 females, other isn't. I can't remember with  
15 isoniazid. Will, is there much of a difference?  
16 I didn't think so. So, I haven't really looked at  
17 the sex differences. What was your second  
18 question?

19 PARTICIPANT: What's your opinion  
20 about the role of T helper cells in the development  
21 of DILI?

22 DR. UETRECHT: There is such a large  
23 number of cells. I mean, even CD4 T cells.  
24 There's so many different CD4 T cells, they don't

1 have to follow our rules. So that the same  
2 apparent phenotype can do very different things  
3 depending on what molecules they're expressing,  
4 what cytokines they're producing. Certainly helper  
5 T cells are involved in immune responses, but there  
6 are so many cells, as Amy was suggesting, it's  
7 really complicated. The more I try to understand  
8 what's going on, the more I almost feel like I'll  
9 never really understand what's going on.

10 DR. ROSENBERG: Just to add to that a  
11 little bit. The phenotype and the cytokine  
12 profile of T helper cells is not fixed, it's  
13 plastic. So even if you have regulatory T cells that  
14 have suppressive activity, if given enough  
15 stimulation and activation they can be converted  
16 back into T effector cells. So it's not -- it's  
17 plastic. It's not rigid.

18 PARTICIPANT: I came all the way from  
19 Silver Spring, so.

20 DR. REGEV: Half a question.

21 PARTICIPANT: Half a question. It's  
22 not for Jack, but for Herb, actually. So, I have  
23 a patient that I referred to NIH that had a little  
24 bit of alcohol exposure let's say in the past, but

1 was sort of misdiagnosed for having multiple  
2 sclerosis because he had a lytic lesion in his spine  
3 and was put on interferon beta basically for 10  
4 years while he was on statins. So, I wonder -- just  
5 asking whether you've seen -- and then now has kind  
6 of developing cirrhosis. And I'm wondering whether  
7 in your, what you talked about with these adverse  
8 effects with interferon beta in this case, have you  
9 seen anything along the lines of other co-factors,  
10 sort of a statin-interferon beta, and then this  
11 unusual I think, unless you've seen other cases  
12 like that, development into cirrhosis. Because I  
13 think the alcohol is a confusing factor and there's  
14 been no exposure in 20 years. So I'm just asking  
15 actually for everyone else if they've seen any  
16 cases like that.

17 DR. BONKOVSKY: Well, that specific  
18 question I need a consult from Dr. Fontana who was  
19 the first author of the paper. Do you remember  
20 that level of detail, Bob?

21 DR. FONTANA: So, we published a paper  
22 in American Journal of Gastro I think just this past  
23 year looking at two-year outcomes of patients with  
24 DILI. So, the protocol is everyone who gets



1 referred we plan to see them back at six months.  
2 And so if at six months after DILI onset you still  
3 have evidence of liver disease, like abnormal LFTs  
4 and so on, then we followed everyone out to two  
5 years just to see what happens. And basically we  
6 had about 120 patients who had quote unquote  
7 "evidence" of ongoing disease at 6 months. When you  
8 follow them out to 24 about three-quarters of them  
9 still have some mild evidence of liver disease for  
10 the most part. One quarter were just sort of slow  
11 resolvers. In other words their enzymes  
12 normalized by month 12 or 24, and it appears that  
13 they didn't have liver disease. But we don't have  
14 liver biopsies. Because as we saw yesterday, and  
15 as I mentioned and Arun mentioned, you can evolve  
16 into cirrhosis with normal LFTs. So, what we're  
17 planning to do going forward is to do a little more  
18 sensitive testing of these patients prospectively  
19 like the FibroScan which is non-invasive to see is  
20 the liver getting stiffer over time just during  
21 pure observation. So all that being said within the  
22 110 patients that we followed out to 2 years there  
23 clearly were patients who had evidence of  
24 cirrhosis. It was oftentimes a bland cirrhosis, but

1 they had, you know, a big spleen, and clinical  
2 features that were consistent with cirrhosis. So  
3 it does happen from DILI. The frequency is  
4 probably pretty low. But that's the best we can  
5 say. And it wasn't just one drug. And Herb is in  
6 the process of writing up the vanishing bile duct.  
7 I don't know if you want to comment on that also  
8 in terms of the evolution of that.

9 DR. BONKOVSKY: We have a total of 26  
10 patients who on the first biopsy, or at a biopsy  
11 at a certain point in the course had just a lack  
12 of bile ducts, moderate or severe. And those that  
13 had severe loss, so at least 50 percent of the  
14 portal tracts on an adequate biopsy that didn't  
15 show bile duct had a very poor outcome. They died  
16 of liver failure. They needed liver transplants.  
17 They had ongoing disease a year and two years later.  
18 Bob, in that -- but in the interferon for multiple  
19 sclerosis do you remember any patients that were  
20 on statins?

21 DR. FONTANA: Yes, that was complex.  
22 We did have, I remember there was one patient who  
23 was on it for 10 years, sort of similar to what  
24 you're describing, and then got DILI which is very

1 strange. How does that happen? And these  
2 patients, again, if they had strong autoimmune  
3 features oftentimes got treated with steroids. And  
4 then this becomes even more convoluted in that,  
5 well, how do you know it's not drug-induced  
6 autoimmune liver disease versus sporadic  
7 autoimmune disease in someone who has an autoimmune  
8 disease that you're starting with. And the clinical  
9 thinking behind that is, well, if you taper the  
10 steroids off and they do okay it probably was  
11 drug-induced. But you know, that's a bit empiric.  
12 And so in the 11 cases that we had I think half of  
13 them got treated with steroids. And we tried to  
14 taper them as best we could, but it's incomplete  
15 information. So, your particular patient, were  
16 they having sort of a subclinical injury for a hile  
17 and then they ended up with cirrhosis from the  
18 interferon beta? It's certainly possible. Or did  
19 they have preexisting disease like we talked about  
20 yesterday that they may have already been halfway  
21 there and then they got accelerated with the drug.

22 DR. REGEV: Bob, before you go I think  
23 I asked you that before. And these 110 patients,  
24 I mean they're obviously very disturbing for many

1 people including drug makers. Because all of a  
2 sudden we have this new entity of chronic,  
3 prolonged DILI which Hy Zimmerman never thought of.  
4 And the question is: are we actually dealing with  
5 a real entity given the fact that you don't have  
6 baseline levels in those patients? This is not a  
7 typical prospective study. It's likely that some  
8 of your patients before they were presenting with  
9 this dramatic drug-induced liver injury they might  
10 have had some ongoing process that was not  
11 documented anywhere because nobody was following,  
12 +or even documented and they had mild elevations  
13 of ALT.

14 DR. FONTANA: So we, by definition when  
15 we did the analysis we excluded anyone who had  
16 preexisting hep C to actually, for example, avoid  
17 that issue, was it hep C that just progressed. And  
18 then when we looked for sort of clinical features  
19 suggestive of metabolic syndrome, or potentially  
20 risks to having NAFLD to start with we didn't see  
21 an over-representation. So I really do think it's  
22 real. Like you said, we don't have a baseline  
23 liver biopsy before they started the drug, but for  
24 all intents and purposes there was no medical

1 history or laboratory evidence that they had overt,  
2 chronic, preexisting liver disease before they got  
3 the DILI.

4 DR. REGEV: And how far do you go as far  
5 as excluding metabolic diseases, such as -- I'm  
6 sure you do that. Just hemochromatosis and --

7 DR. FONTANA: Pretty thoroughly. I  
8 mean, everyone gets worked up for every chronic  
9 liver disease in every case prospectively.

10 DR. REGEV: Alpha-1 antitripsin.

11 DR. FONTANA: Yes.

12 DR. REGEV: And Wilson. You go over  
13 everything.

14 DR. FONTANA: Yes. I do think it's a  
15 real entity. And it is disturbing because it was  
16 18 percent of all the DILI cases had some evidence  
17 of chronicity at six months. And then 75 percent  
18 of them at two years were still going on. So you  
19 do the math, that's 15 percent overall of the  
20 consecutive DILI cases have evidence of some injury  
21 two years after they had the DILI episode. And the  
22 features when they got the DILI that were  
23 predictive of them going there were the cholestatic  
24 patients and those who were a little bit older.

1 DR. REGEV: Thank you.

2 PARTICIPANT: I just want to say in the  
3 case I was talking about that a neurologist -- sorry  
4 --

5 DR. REGEV: One last question.

6 PARTICIPANT: -- was taking care of  
7 this. And basically the patient was told, oh, your  
8 liver enzyme elevation is because of your statins,  
9 and we all know that the statins increase that,  
10 while they were getting interferon beta. And that  
11 went on for 10 years.

12 DR. BONKOVSKY: I mean, I'm not aware  
13 that statins increase injury, or increase abnormal  
14 liver test from beta interferon. I don't know  
15 where that comes from.

16 DR. REGEV: I'm afraid we are at the top  
17 of the hour so we'll probably have a lot more to  
18 talk about, but we are going out for a break until  
19 10 a.m.

20 (Applause)

21 (Whereupon, the above-entitled matter went off the  
22 record at 9:45 a.m. and resumed at 10:00 a.m.)

23

24 MS. PAULS: Okay, we are going to get

1 started. And just while I'm waiting for a couple  
2 of people here please note that we are already in  
3 the process of planning the meeting for 2017. So  
4 pencil in your calendars March 22 and 23. Again,  
5 we avoided all spring breaks to our human  
6 possibility as well as some of the major meetings.  
7 So please pencil that in. I talked to John  
8 yesterday. He already has a vision for the 2017  
9 meeting so there will be more to come.

10 DR. ROSENBERG: So, welcome back to the  
11 second session of the morning. And again this is  
12 focused on checkpoint inhibitors, immunotherapy  
13 and the unleashing of autoimmune DILI in this  
14 setting. And our first speaker is Cyril Konto who  
15 will be speaking about DILI caused by checkpoint  
16 inhibitors and other anti-cancer antibodies.  
17 Looking forward to this.

18 **3.4#1 CK:** DR. KONTO: Thank you. I'd like to  
19 start by thanking the organizers for your kind  
20 invitation. And to introduce myself I'm Cyril  
21 Konto. I'm a medical oncologist and clinical lead  
22 at Pfizer for the immuno-oncology portfolio  
23 responsible for the early phase of the clinical  
24 development. I'm going to talk about the DILI

1 caused by immune checkpoint modulators used to  
2 treat cancer. The original topic was pretty broad  
3 so I had to make arbitrary choice and I focused on  
4 what is doing the buzz today, immune checkpoint  
5 modulators. But there are also ADCs by specifics  
6 that could be covered at a later meeting.

7 **3.4#2 CK:** I'm a current employee and shareholder  
8 of Pfizer. As a disclaimer the opinions that I'm  
9 going to express in these presentation are my own  
10 and do not reflect the view of Pfizer,  
11 Incorporation.

12 **3.4#3 CK:** What are we talking about? We're  
13 talking about those modulators that could either  
14 boost the -- inhibit the blocking, the inhibitory  
15 receptors. Those are blocking antibodies on the  
16 left. And they're mainly CTLA4 and PD1 at this  
17 stage. Both got approval in melanoma. PD1 also  
18 got approved in non-small cell lung cancer and  
19 renal cell carcinoma. There are monoclonal  
20 antibodies, either -- mainly IgG4 monoclonal  
21 antibodies targeting the receptor expressed as a  
22 surface of activated T cells. And those CTLA4 and  
23 PD1 receptor were mainly put in place to shut down  
24 an immune response. By inhibiting the inhibitory



1 receptors we hope to boost the activation of those  
2 T cells. And I'm going to show you that we  
3 succeeded. On the right-hand side of this slide are  
4 the activating receptors on the surface of  
5 activated T cells. And I'm going to talk about the  
6 experience with CD137. I had to pick one. But we  
7 could also talk about OX40 receptor at the surface  
8 of activated CD4 T cells, in a shorter time on  
9 activated CD8 T cells. Indeed our receptor which  
10 is expressed on the T-regs.

11 **3.4#4 CK:** Why is there so much enthusiasm about  
12 immune checkpoint modulators as an introductory?  
13 I picked up one of the overall survival figure.  
14 And this is the survival outcome of the experience  
15 with nivolumab in untreated advanced melanoma  
16 patients who are BRAF wild type. The yellow curve  
17 here shows the survival outcome of patients who  
18 receive nivolumab compared to those who received  
19 the standard of care, dacarbazine. Those curves  
20 speak by themselves. There was a statistically  
21 significant risk reduction in death with a hazard  
22 ratio of 0.42. With those immune checkpoint  
23 modulators we've also learned a new type of safety  
24 profile.

1       **3.4#5 CK:** I show you on this slide the safety  
2 profile of nivolumab in pre-treated advanced  
3 melanoma patients. Nivolumab is a fully human IgG4  
4 anti-PD1 immune checkpoint inhibitor. And this  
5 could also be the same slide for pembrolizumab, the  
6 PD1 from Merck. You can see here that the hepatic  
7 drug-related adverse events is in the range of the  
8 fourth or fifth most common drug-related adverse  
9 events. In that study any grade liver, drug-related  
10 adverse events occurred in 5 percent of the treated  
11 patients and severe hepatic adverse events  
12 occurred in 1 percent of them.

13       **3.4#6 CK:** What are the DILI due to immune  
14 checkpoint modulators? There are mainly and I  
15 should say exclusively immune-mediated hepatitis.  
16 Most frequently they are diagnosed clinically.  
17 There were a few patients who had liver biopsies  
18 and those patients were mainly treated with  
19 ipilimumab at the early stage of immuno-oncology  
20 when we were learning this safety profile with  
21 immune checkpoint inhibitors. Those hepatitis are  
22 defined as LFT elevation after ruling out other  
23 etiologies. And you can imagine that those are  
24 cancer patients. Some of them have liver

1 metastases. Some of them receive -- come with  
2 potential hepatotoxicity. Those hepatitis case  
3 require corticosteroid therapy. The patient had  
4 variable imaging findings according to the  
5 clinical severity. Interestingly, those cases of  
6 hepatitis are mainly asymptomatic, and in the rare  
7 case where symptoms are present they are general  
8 weakness, nausea, vomiting, or dizziness.

9 **3.4#7 CK:** If we look at the increased incidence  
10 of liver test abnormalities compared to baseline  
11 in patients who were treated with nivolumab we see  
12 that for AST, ALT and total -- and alkaline  
13 phosphatase they're almost double compared to the  
14 chemotherapy control arm. Looking at the  
15 definition of hepatitis I gave you earlier we  
16 observed 2.5 percent of any grade hepatitis. And  
17 CVR grade being grade 3 and grade 4 hepatitis were  
18 diagnosed in almost two percent of the patients  
19 receiving nivolumab. And pardon me, here I'm using  
20 the CTCAE grading systems. I wish everyone is  
21 aware of that system. Grade 1 for AST/ALT  
22 elevation range up to 2.5, grade 2 up to 5, grade  
23 3 up to 10, and grade 4 above 20. With regards to  
24 presentations, the median time to onset was pretty

1 long for anti-PD1 checkpoint inhibitor, compared  
2 to experience with anti-CTLA4 inhibitors, 3.7  
3 months ranging from 6 to 9 months. The way we  
4 treated those patients, if the LFTs were greater  
5 than -- the transaminase elevation was greater than  
6 8x the upper limit of normal we would discontinue  
7 patients. And this was the case in 5 patients.  
8 Otherwise we would withhold therapy until  
9 recovery. There was complete resolution in almost  
10 three-quarters of the patients. Resolution in  
11 that case again is a clinical definition where we  
12 expect the LFTs to recover to baseline number with  
13 the completion of the corticosteroid therapy. We  
14 talked yesterday about rechallenge. Four  
15 patients were rechallenged and one had a positive  
16 rechallenge with a recurrence of immune-mediated  
17 hepatitis with nivolumab.

18 **3.4#8 CK:** Switching backward to anti-CTLA4.  
19 Ipilimumab was the first approved anti-CTLA4 IgG4  
20 in monoclonal antibodies, the first immune  
21 checkpoint inhibitor approved if we disregard the  
22 cytokines previously approved. Interestingly, for  
23 ipilimumab there are two doses currently approved:  
24 a dose of 3 mg/kg given every 3 weeks for a total

1 of 4 doses in advanced melanoma patients, and a dose  
2 of 10 mg/kg with an induction regimen, given every  
3 3 weeks for 4 doses, and a maintenance regimen given  
4 every 3 months to up to 3 years. Very few patients  
5 continue therapy after 3 years. What we observed  
6 with ipilimumab is a dose- proportional incidence  
7 of hepatitis cases. At the 3 mg/kg dose severe cases  
8 of hepatitis were diagnosed in 2% of the patients.  
9 Some of them were fatalities. A quarter of them  
10 required hospitalization. And again, these were  
11 the very first cases of hepatitis due to immune  
12 checkpoint modulators we diagnosed here. And  
13 moderate hepatitis, so-called grade 2 hepatitis  
14 was diagnosed in 2.5%. Onset of liver injury in  
15 patients treated with ipilimumab was earlier than  
16 for patients treated with nivolumab, usually after  
17 2 to 4 cycles ranging from 3 to 9 weeks. Eenzyme  
18 elevation was most frequently hepatocellular.  
19 Also we noted cholestatic pattern at the onset of  
20 injury. With regard to the 10 mg/kg dose, you can  
21 check yourself. The incidence of severe hepatitis  
22 was significantly greater: 10% of the patients  
23 experienced severe hepatitis; 5% had moderate  
24 hepatitis. The median time to onset is pretty

1 similar to the 3 mg/kg dose. There was a complete  
2 resolution in most of the cases, testifying to the  
3 high level of education of our medical community  
4 with immune checkpoint inhibitors. The 10 mg/kg  
5 dose was approved last year, very recently, after  
6 a long experience with this immune checkpoint  
7 inhibitor. Median duration of corticosteroids  
8 ranged from 2.6 to 4.5 months.

9 **3.4#9 CK:** This is a case of a 63-year-old patient  
10 with melanoma treated with ipilimumab. And you  
11 can see on the upper left corner the pattern of the  
12 LFT elevation where at the onset we had both  
13 hepatocellular and cholestatic patterns. And you  
14 can also note a relapse during the taper off of the  
15 corticosteroids. The CT scan shows here edema in  
16 the periportal space, and also here you can see  
17 enlarged lymph nodes in the periportal space. If  
18 you look at here you can see at the ultra sonography  
19 hyperechogenicity of the portal area and the  
20 periportal space. Here is the gallbladder with a  
21 multilayered thickening that likely represents an  
22 inflammation of the gallbladder. We had similar  
23 T2 hyperintensity on the MRI. With the  
24 histopathological findings in this patient showed

1 here, hepatitis with an infiltrate of lymphocytes,  
2 with very few fields depicted on this slide, also  
3 with signs of endotheliitis on the lower right.  
4 The patient was treated with corticosteroids.

5 **3.4#10 CK:** Having those two breakthrough  
6 therapies in the field of melanoma with two  
7 different immune mechanisms, a CTLA4 blockade  
8 boosting the T cell in the peripheral blood, and  
9 pouring them, and inducing proliferation of  
10 cytotoxic T cells, and having PD1 inhibitor  
11 blocking the mechanism of immunoresistance within  
12 the tumor environment we logically tried to combine  
13 those two compounds.

14 **3.4#11 CK:** The combination of nivolumab and  
15 ipilimumab achieved interesting results in terms  
16 of survival of the patients compared to our  
17 previous experience in advanced melanoma, but also  
18 was a cause for concern with regards to the severe  
19 safety profile.

20 **3.4#12 CK:** More than half of the patients had  
21 severe side effects with this combination. And  
22 here it's represented, the increased ALT and AST  
23 in the range of 10 percent of subjects treated with  
24 the combination of nivolumab and ipilimumab. We

1 also tried to combine immune checkpoint inhibitors  
2 with TKI. And this was a failed experience that  
3 we had with Roche. At the time I was at  
4 Bristol-Myers Squibb when we combined ipilimumab  
5 and vemurafenib. They were two recent drugs  
6 approved in the field of advanced melanoma.

7 And we wondered whether we could achieve better  
8 disease control and also longer survival with this  
9 combination. Unfortunately there were synergistic  
10 liver toxicities that resulted in the phase I study  
11 termination. We published the results in the New  
12 England Journal of Medicine.

13 **3.4#13 CK:** This study was a phase I, a phase I  
14 study with a primary objective of finding the  
15 utility of this combination. We had originally a  
16 lead-in -- we had a lead-in phase with vemurafenib  
17 for 28 days. And then we did combine ipilimumab  
18 and vemurafenib. The DILI observation occurred was  
19 during the combination obviously. We had three dose  
20 levels planned originally but were only able to  
21 treat patients at the starting dose and the -1 dose  
22 reduction. Vemurafenib was given at the approved  
23 dose, 960 mg BID, and ipilimumab at 3 mg/kg. And  
24 we tried to reduce the dose of vemurafenib,



1 maintaining ipilimumab at 3 mg/kg but failed.

2 **3.4#14 CK:** The outcomes were published. Among  
3 the 12 patients who were enrolled into those two  
4 dose levels only 10 received the combination of  
5 ipilimumab and vemurafenib. Six out of those ten  
6 patients had a severe transaminase elevations  
7 including two patients who had grade 2 to 3  
8 bilirubin increase. Those patients were  
9 asymptomatic. We couldn't get any liver biopsy in  
10 oncology center in the U.S. unfortunately. This  
11 hepatotoxicity finding was higher than what we  
12 could expect. It was even higher than the sum of  
13 the two hepatotoxicities reported for either  
14 single agent at that time. The USPI of ipilimumab  
15 showed 2 percent of severe hepatitis, and the USPI  
16 of vemurafenib had 3 percent of LFT elevation. The  
17 worst case was a grade 3 case, and hopefully all  
18 the cases were reversible with the established  
19 management decisions.

20 **3.4#15 CK:** I'd like to finish with the unfortunate  
21 case of 4-1BB receptor and urelumab. 4-1BB is also  
22 named CD-137. It's a TNF super family receptor.  
23 It's expressed on the surface of activated T cells,  
24 CD4, but mainly CD8 T cells, activated NK cell and

1 NKT cells. Its ligand, CD-137 ligand is expressed  
2 on macrophages, monocytes, dendritic cells and B  
3 cells. And this ligand enhances the ability of the  
4 CD-137 positive dendritic cells to -- for T cell  
5 responsiveness to alloantigens. Also the CD-137  
6 ligand has been shown to augment T cell trafficking  
7 of those activated lymphocyte from the peripheral  
8 blood to the tumor environment. Urelumab is a  
9 drug that is being developed by Bristol-Myers  
10 Squibb. It's a first agonistic anti-CD137 fully  
11 human IgG4 monoclonal antibody. It's a  
12 non-blocking CD-137 ligand monoclonal antibody.  
13 The non-clinical toxicology studies performed with  
14 a mouse surrogate antibody of urelumab at multiple  
15 doses have shown skin and liver toxicity in mice,  
16 but no such toxicity in monkeys. In the clinic we  
17 observed hepatotoxicity was the most frequent  
18 toxicity with urelumab at doses above 1 mg/kg. And  
19 two patients actually died from severe hepatitis  
20 at 1 and 5 mg/kg in the early phase I trial that  
21 ended up on clinical hold. After BMS did further  
22 research on the cases of hepatitis among the entire  
23 program, it was realized that the entity was  
24 certainly below the 1 mg/kg dose given every three

1 weeks. And the current clinical trials are run at  
2 this dose, at the flood dose that is actually even  
3 smaller than 1 mg/kg. The most frequent grade 2  
4 level abnormalities were ALT and AST elevation.  
5 And the anti-tumor of urelumab was observed across  
6 the different doses. There is no impairment of the  
7 efficacy expected by selection of this lower dose.

8 **3.4#16 CK:** Pfizer is also developing an anti  
9 4-1BB. And I'm showing you on this slide the  
10 current safety profile of this asset that is in  
11 phase I clinical development. And you are certainly  
12 searching for the liver toxicity on this slide. It  
13 does not appear. There was one controversial  
14 cases of severe LFT elevation that was at the end  
15 adjudicated by the investigator related to the  
16 disease progression and not to the drug.

17 **3.4#17 CK:** The difference between those two  
18 assets is striking. For the same indication with  
19 doses that range, it was the same drug exposure in  
20 patients, so we tried to understand the possible  
21 differences between urelumab and utilimumab, the  
22 new generic name given to this Pfizer 4-1BB.

23 **3.4#18 CK:** I'm showing you on this slide our two  
24 main hypotheses. The first, I think is the same

1 between the two monoclonal antibodies. The first  
2 is the epitopes. The epitopes targeted by  
3 urelumab are on the cysteine rich domain 1 and 2.  
4 And it's actually outside the human 4-1BB ligand  
5 binding region which explains that urelumab is a  
6 non-blocking monoclonal antibody. On the other  
7 hand, utilimumab is binding on the human 4-1BB  
8 binding -- 4-1BB ligand binding region, cysteine  
9 rich domain 3 and block the binding of the natural  
10 4-1BB ligand.

11 The second hypothesis, which we don't know  
12 yet how it can translate, is the difference in the  
13 isotope of the monoclonal antibody, IgG2 versus  
14 IgG4, just 1 ml of IgG2 in the micro-environment.  
15 There are more macrophages than NK cells, and IgG2  
16 actually in human beings triggers ADCP, an  
17 antigen-dependent phagocytosis by the macrophages  
18 that are present in the tumor micro-environment.

19 **3.4#19 CK:** This is my last slide. Those are the  
20 take-home messages I'd like you to take from this  
21 presentation. And I would like to start with this  
22 statement that the benefit of the immune checkpoint  
23 modulators outweigh the risks has been shown by the  
24 multiple recent approvals. We do observe mild to

1 moderate serum LFT elevations during treatment  
2 with anti-CTLA4 and anti-PD1. Those DILI are  
3 asymptomatic, detected by LFT monitoring. And we  
4 recommend testing LFTs before starting therapy and  
5 regularly on treatment prior to each dose. The  
6 biochemistry findings were hepatocellular  
7 patterns of enzyme elevation, but they can be  
8 mixed, as I said earlier. Severe hepatitis was  
9 diagnosed in 2 to 10 percent of the patients treated  
10 with immune checkpoint modulators. The range of  
11 the incidence of the hepatitis depended on the type  
12 of checkpoints used, on the dose and on the  
13 combination. The DILI with those immune checkpoint  
14 modulators have an early onset, usually 3 to 15  
15 weeks after the initiation of treatment, and on the  
16 pathological findings this time we observe an  
17 immune-mediated hepatitis with focal or confluent  
18 necrosis and preeminent infiltration of activated  
19 T cells. And as Arie is going to tell you in a  
20 second, corticosteroids are the main treatment,  
21 and as I showed you they are often successful.

22 Thank you very much for your attention.

23 (Applause.)

24 -----

1 DR. UETRECHT: The next speaker is Arie  
2 Regev, who really needs no introduction, but he was  
3 an academic hepatologist at the University of Miami  
4 and then moved to Eli Lilly to be in charge of liver  
5 safety there, and also appointed at the University  
6 of Indiana. Arie?

7 **3.5#1 AR:** DR. REGEV: Thank you very much. And  
8 thanks again to John and Lana for inviting me.  
9 This is a great opportunity to discuss this topic,  
10 mostly because we get to discuss it with  
11 oncologists and hepatologists at the same time. I  
12 think it's a great opportunity. At the very least  
13 we should end up with at least one name for this  
14 entity rather than four different names.

15 **3.5#2 AR:** And a little bit of a background  
16 information. So, DILI due to cancer immunotherapy  
17 targeting immune checkpoints is one name for this  
18 entity. We could call it immune-related hepatitis.  
19 We could call it immune-mediated hepatitis. The  
20 jury is still out. But this is one of the leading,  
21 as you've heard, immune-related adverse events  
22 occurring in patients receiving checkpoint  
23 inhibitors. And you've all heard the huge advantage  
24 of these molecules. They basically unleash the

1 immune system to cure cancer. This is something  
2 that has achieved results that we've never seen  
3 before with cancer patients. And the severity of  
4 liver injury in those patients may range all the  
5 way from mild increases in ALT, which is completely  
6 asymptomatic, to all the way to fulminant  
7 hepatitis, what we would call acute liver failure  
8 and death. The percentage of what is called grade  
9 3 to 5, I'm not sure I'm very comfortable with this  
10 terminology, but grade 3 to 5 injury occurred in  
11 1 to 7 percent of the patients. And unfortunately,  
12 like we've seen in other cases of idiosyncratic  
13 drug-induced liver injury, presently there is no  
14 dependable way to identify which patient will  
15 develop severe liver injury or liver failure out  
16 of hundreds or thousands receiving this treatment,  
17 and we have no diagnostic biomarkers. So if  
18 there's an abnormal liver test, we have no way to  
19 diagnose that this is actually what is going on.  
20 By the way, the numbers I'm showing here, as just  
21 noted by Cyril, they could be much higher in  
22 combinations therapy. We could get as high as in  
23 the twenties and sometimes even in the thirties as  
24 far as percentage of severe adverse events.

1       **3.5#3 AR:** So, a little background about treatment  
2 of immune-related liver injury. So first of all,  
3 as we know, in general the immune-related adverse  
4 events due to checkpoint inhibitors are attributed  
5 to unopposed T cell activation. So logically, the  
6 overall strategy for treating those side effects  
7 or adverse events is directed towards reducing T  
8 cell activation. Specifically in adverse  
9 reaction due to checkpoint inhibitors, we know that  
10 in many cases high dose steroids actually get that  
11 result. But not in all cases. As in many other  
12 cases of severe liver injury, it is extremely  
13 important to assess for other possible causes  
14 because just the fact that we are having a patient  
15 receiving checkpoint inhibitor does not mean that  
16 this is the cause for his liver disease. There are  
17 other several causes and we will discuss this in  
18 a minute. Two very exciting areas that we may want  
19 to discuss in the -- during the discussion break,  
20 the role of flare-ups of chronic viral hepatitis  
21 in these patients and DILI due to concomitant  
22 drugs. Both are matters of active debate.  
23 Remember, we are decreasing the threshold for  
24 immune response to maybe a statin that the patient



1 is receiving at the same time, or other drugs. So  
2 these are interesting discussions.

3 **3.5#4 AR:** A little lesson learned regarding what  
4 we call autoimmune hepatitis, or some would call  
5 now idiopathic autoimmune hepatitis, and  
6 idiosyncratic DILI. So there are interesting  
7 similarities and differences between those and the  
8 entity that we are discussing right now. First of  
9 all, autoimmune hepatitis, as we know, involves  
10 loss of tolerance to self-antigens. But the  
11 actual pathogenesis is incompletely understood,  
12 even today. Interestingly, autoimmune hepatitis,  
13 the classic autoimmune hepatitis, is a  
14 steroid-responsive disease. And standard therapy  
15 is quite successful with corticosteroids and with  
16 azathioprine. For those who are what we call  
17 steroid refractory, there's a list of other drugs  
18 that have been used with various levels of success,  
19 such as mycophenolate mofetil, cyclosporine,  
20 tacrolimus, rapamycin, and even infliximab with  
21 some success. On the other hand when we talk about  
22 idiosyncratic drug-induced liver injury, the  
23 current consensus is that in most cases this is a  
24 steroid non-responsive disease. And there's no

1 good evidence to suggest that steroids are the way  
2 to treat even the most severe cases of  
3 idiosyncratic hepatotoxicity.

4 **3.5#5 AR:** So, related specifically to the  
5 treatment of checkpoint inhibitor-related liver  
6 injury, first of all, we have very limited  
7 experience. We are now at the process of gaining  
8 data and learning. And as expected, there's no  
9 consensus regarding, for instance, when do we start  
10 treating those patients? So if a patient has an ALT  
11 that doubled from baseline, or ALT of 2.5 times  
12 upper limit of normal, should we treat this  
13 patient? So when to initiate treatment is unclear  
14 and is being done differently by different  
15 companies. In most cases, with ALT levels of more  
16 than five times the upper limit of normal, patients  
17 generally respond rapidly to corticosteroid  
18 therapy. It could be various speeds of response.  
19 It could take a few weeks. But this is very similar  
20 to what we see with autoimmune hepatitis.  
21 Sometimes it takes even months. There have been a  
22 few cases of fatal hepatic failure. At least one  
23 was confounded by delayed initiation of steroids  
24 and medical care based on the literature which

1 gives us pause regarding how much we can delay such  
2 treatments under certain circumstances. In some  
3 cases, additional immunosuppressives, as I  
4 mentioned, for autoimmune hepatitis, similar  
5 immunosuppressives have been used in these  
6 patients that seem to be not responding to  
7 steroids. And those included mycophenolate  
8 mofetil, infliximab and antithymocyte globulin  
9 with varying success.

10 **3.5#6 AR:** And I will just show you two published  
11 case reports just to illustrate the amount of  
12 confusion and disagreement regarding how to  
13 approach these patients.

14 This is a case that was published in 2011 in  
15 Journal of Clinical Oncology describing a 60 year  
16 old man with metastatic melanoma that received two  
17 infusions of ipilimumab three weeks apart, and then  
18 one month after starting this treatment presented  
19 with fever, rash, nausea, and vomiting with ALT of  
20 2,500. He was started on methyl prednisolone IV for  
21 nine days, and showed rapid improvement. And then  
22 unfortunately, what happens sometimes, he  
23 developed severe steroid-induced psychosis, and  
24 steroid doses had to be reduced leading to a rapid

1 recurrence of symptoms and increased ALT.

2 **3.5#7 AR:** And this is what the changes in ALT/AST  
3 look like. Unfortunately I do not have a pointer,  
4 but you can see the blue arrows showing where the  
5 -- I don't have anything that would hit this screen  
6 but I'll basically point out the important points.  
7 So we can see the initial doses of ipilimumab with  
8 the blue arrows. Then there is, by the way, an  
9 interesting star that says alcohol binge, which I'm  
10 not sure is relevant to the course of this patient  
11 at all. But somebody thought it might be. And then  
12 on day 30 there is a sharp increase in ALT and AST  
13 that was met on day 40 with those red/orange columns  
14 which are the steroids therapy. And as you can see,  
15 as soon as steroids were given ALT and AST started  
16 responding. However, they had to stop it because  
17 of the side effect of psychosis. And you can see,  
18 it's very difficult to see that initially they  
19 started with those little green columns which are  
20 mycophenolate mofetil. And at that point, even  
21 though the green columns are there, meaning the  
22 patient is receiving mycophenolate mofetil, ALT is  
23 gradually going up and going to a level that is even  
24 higher than the previous case. We're now talking























































1 DR. ROSENBERG: So, I'd like to ask a  
2 quick question. You made a -- Dr. Suzman, you made  
3 a very interesting point which was that the maximum  
4 tolerated dose was actually independent of the  
5 targeting of the monoclonal antibody, which then  
6 suggests that, you know, if you use the maximum  
7 tolerated dose you're way overdosing the patient.  
8 Because in point of fact, the exquisite specificity  
9 of monoclonals is what you're relying on to deliver  
10 an effect. And so if you're getting non-specific  
11 toxicity it means that you're really using too much.

12 DR. SUZMAN: Well, that was  
13 specifically with regards to antibody drug  
14 conjugates and sort of an empiric analysis that our  
15 toxicologist did. But certainly it seems that it's  
16 more the payload than the antibody with toxicity in  
17 general with these agents.

18 DR. ROSENBERG: But I thought you  
19 mentioned that this conjugate did not -- had good  
20 stability, the linker was good.

21 DR. SUZMAN: I think the linker can be  
22 an issue and the linker varies between different --  
23 it tends to be the linker that has more to do with  
24 the toxicity when you're comparing across these

1 agents as opposed to the target. So it tends to be  
2 sort of the linker and the payload, and as you keep  
3 those constant the toxicity seems to be fairly  
4 constant. So the stability of the linker becomes  
5 critical.

6 DR. WRIGHT: So, a follow-up question  
7 -- again, Terry Wright, Genentech -- relates to the  
8 VEGF inhibition. And we are now -- you have  
9 experienced obviously at Lilly using VEGF  
10 inhibitors in patients with hepatocellular  
11 carcinoma. There are potentially multiple effects  
12 of VEGF inhibition on the liver, which I think we  
13 haven't perhaps fully explored clinically. One is  
14 to reduce portal pressures. And actually if you  
15 look at GI bleeding rates across the phase III  
16 programs they tend to actually be quite low, and if  
17 anything a little lower in the subarachnoid treated  
18 versus placebo. So that's one potential good.  
19 There are also potential effects on fibrosis and  
20 fibrogenesis, both. So, fibrogenesis and  
21 fibrolysis. So maybe both a positive and a  
22 negative effect in fibrosis. But there are also  
23 potential effects on collateral circulation in the  
24 liver, negative effects, and also potential

1 negative effects in hepatic regeneration. So, I  
2 only bring this up because as we are going more into  
3 -- there have obviously been significant problems  
4 with adverse drug reactions in HCC trials. That's  
5 why many of those trials have failed. And just a  
6 heads up for all of us, perhaps think about what  
7 these drugs may be doing to the underlying liver  
8 disease, not just to the tumor as we set up clinical  
9 trials.

10 DR. REGEV: I heard your comment  
11 yesterday and I think it's a very good point. I  
12 don't think any of us have any hard data about this,  
13 but these are very important questions. If anybody  
14 else has any data I would love to hear it, but I don't  
15 --

16 DR. ROSENBERG: Next question.

17 DR. VIERLING: John Vierling, Baylor  
18 College of Medicine, Houston. I was struck by these  
19 emerging stopping rules, by their lack of inclusion  
20 of measures of excretory hepatic function such as  
21 total and direct reacting bilirubin, or PTINR  
22 perhaps as a measure of synthetic function.  
23 They're relying on aminotransferases, which of  
24 course are not necessarily liver-specific. There

1 are many other potential sources as well as ALT and  
2 AST that would need investigation. But I'm very  
3 struck by the fact that with these stopping rules  
4 and this emerging concern, especially the concern  
5 that's also been raised of whether treatment with  
6 the immunosuppression might actually be  
7 contraindicated for the person in whom you're  
8 trying desperately to control and to modify their  
9 immune response regarding a tumor. So, what I would  
10 urge is two things. One, I would think that these  
11 cases, just as we saw for the cases that Herb  
12 Bonkovsky carefully described to us this morning,  
13 that we have liver biopsy. We do not know without  
14 a liver biopsy what is going on and how to interpret  
15 actually, the meaning of aminotransferases for  
16 sure. And part of that question is that if this is  
17 an acute hepatitis presentation of an autoimmune  
18 hepatitis, then we would anticipate that the  
19 majority would show the lesion of that acute onset,  
20 which is a central zonal perivenulitis rather than  
21 a portal-based hepatitis with interface hepatitis.  
22 That can actually be seen in acute hepatitis due to  
23 any etiology. But were it the latter then that would  
24 portend the perhaps worse outcome, as shown by the



1 acute liver failure study group in their biopsy  
2 series of the autoimmune hepatitis candidates. Now,  
3 the other thing is that this would be, I believe,  
4 the perfect opportunity to study quantitative  
5 function before, as baseline, and after. And these  
6 are non-invasive tests. They are available and one  
7 is FDA approved as I mentioned yesterday in my talk.  
8 Because I'd like to know the correlation between  
9 hepatic dysfunction and the aminotransferases  
10 before we throw the baby out with the bath water.

11 PARTICIPANT: I've got a couple of  
12 questions. One is I guess the comment would be I  
13 would expect the activity, the cancer-related  
14 activity, to parallel this autoimmune activity in  
15 the liver, and I was wondering whether you also saw  
16 that effect. And the second one is I had a question  
17 about the distribution of the antibody. The  
18 anti-PD1, is that actually going to the liver? Did  
19 you guys quantitate how much -- what is it binding  
20 to? Are you generating the T cells in the liver,  
21 or are they being activated in the lymph node and  
22 then going to the liver? What's the pathway that  
23 gets these going? And I think if it's the RES system  
24 that captures the antibody then maybe you would

1 focus all the PD1 positive T cells. You would  
2 capture them back into the liver to sort of make this  
3 worse. And then the last thing is your 4-1BB, I think  
4 you're getting reverse signaling on the antibodies  
5 that don't block the 4-1BB. When you cross-link it  
6 with the first antibody I think maybe you're getting  
7 signaling backwards that give you the toxicity.

8 DR. KONTO: So, I'm going to start with  
9 the correlation of immune-mediated or related  
10 adverse events. And by the way, immune-mediated  
11 adverse reaction is a term that the FDA previously  
12 raised during the discussion with the label of  
13 ipilimumab, that's the reason why I feel that this  
14 is the official term, just to close that debate.  
15 There was a correlation between severe  
16 immune-mediated adverse reactions and the outcome  
17 in terms of overall survival. And this was shown  
18 by Dr. Weber at Moffitt in Tampa in the early days  
19 of ipilimumab. There are caveats to those type of  
20 analysis because those studies are not powered to  
21 rigorously assess this type of analysis. And I  
22 don't feel there is any need to make any promotion  
23 with that regard. About your question on the liver  
24 distribution of nivolumab, we haven't assessed the

1 liver distribution of the monoclonal antibodies.  
2 The only work that has been done is the  
3 characterization of cytotoxic CD8 T cells  
4 infiltration in the liver parenchyma. With regards  
5 to 4-1BB difference I think you're right, if I  
6 capture what you say correctly, that the blocking  
7 feature on the 4-1BB receptor is important to avoid  
8 a dual agonistic effect on the 4-1BB receptor. So  
9 having a 4-1BB monoclonal antibody binding to the  
10 epitope of the 4-1BB ligand and blocking the binding  
11 of the natural ligand to the receptor may avoid an  
12 increased burden in toxicity.

13 DR. REGEV: Just to go a step back to  
14 John's comments, which I think are excellent, and  
15 I think just enhance the points of how much we need  
16 to get to some kind of a consensus regarding  
17 guidelines on how to treat these patients. One of  
18 the things that I think is illustrated here, and  
19 again, is something that we see when we work with  
20 our oncology colleagues, the classification of  
21 severity of liver injury is not even similar because  
22 we -- DILI people don't use the staging, the CTCAE  
23 system, which differentiates between 3 to 5, 5 to  
24 20, and more than 20 ALT. We don't think that

1 having more than 20 ALT should be stage 4 because  
2 we think that having a bilirubin of two times the  
3 upper limit of normal is much more dangerous than  
4 having an ALT of 20. So, there's this ongoing  
5 discussion on how those classifications need to be  
6 harmonized and after that how we should have common  
7 guidelines to stopping rules both for  
8 discontinuation of the drug and initiation of  
9 steroids. I think this is a work in progress because  
10 right now there are very different approaches by  
11 different companies that go about developing these  
12 drugs.

13 DR. KONTO: I just want to add one  
14 thing, and I appreciate your comment. I think this  
15 type of meeting is really necessary to create  
16 harmonization. Within the grade 3 of LFT  
17 transaminases elevation ranging from 5 to 20, the  
18 discontinuation rule is not the same depending if  
19 the elevation is below eight times the upper limit  
20 normal, or above eight times the upper limit normal.  
21 So there is a clear disconnect on the rules and the  
22 CTCAE classification that should be harmonized  
23 indeed.

24 DR. REGEV: I agree.

1 DR. AVIGAN: Well, there are many  
2 points that come up with these immune therapies and  
3 so I just want to touch on a few. But one -- and I  
4 wanted to hear about the PD1 drugs, which is not just  
5 the liver, because we happen to be liver specialists  
6 here, but in reality if you look at what is also in  
7 the post market being reported in terms of  
8 extraordinary bad reactions, not unexpected is a  
9 variety of different targets, organ targets,  
10 including the enteritis which is problematic where  
11 there are perforations, for example, as  
12 complications. Skin, polyendocrinopathy, and so  
13 on. So, in the scheme of things, we're talking today  
14 about the liver, but in reality I wonder what your  
15 experience is across kind of the whole body. And  
16 then somebody mentioned, this is actually very  
17 important from an FDA perspective because we get the  
18 post marketing reports of people who have very bad  
19 outcomes, and in cases of liver failure, for  
20 example, many of the patients at autopsy when they  
21 have these drug reactions and die of liver failure,  
22 they have metastatic disease with melanoma in the  
23 liver. Not necessarily a big surprise, but then  
24 the question becomes patient selection for

1 appropriate treatment. And this is not a trivial  
2 question. If you come back in a hundred years from  
3 now if we're going to use these immunotherapies we  
4 need to get better at predicting which patients  
5 would have a positive rather than a negative result.

6 The final question, and again -- but one that  
7 I'd like to hear some discussion about is the  
8 recovery or adaptation phase. So in other words,  
9 these are monoclonal antibodies. They target T  
10 cells. And what we're asking for if we overshoot  
11 is a recovery for the patient's immune system to  
12 kind of get back into an equilibrium where we have  
13 too much autoreactivity. So the question is with  
14 these drugs, what is the recovery mechanism once the  
15 monoclonal is binding to its CTLA4 PD1 target?  
16 What are the steps of recovery to get back into  
17 equilibrium? Are we talking about very rapid  
18 recovery because of replacement cells that come  
19 back, suppressor cells that come back into the  
20 picture? Or are there other effects, steps that  
21 are necessary to get that recovery?

22 DR. KONTO: With regard to the safety  
23 profile of nivolumab, the anti-PD1 monoclonal  
24 antibody, I think you're completely right and that

1 was the purpose of my first slide was to put the  
2 hepatitis in the broad context of immune-mediated  
3 adverse events. With PD1 the range of side effects  
4 is lower than with ipilimumab. That's where we had  
5 these very severe enterocolitis cases in the range  
6 of 5 to 10 percent. Overall any grade of  
7 enterocolitis affected 20 percent of the patients.  
8 As you mentioned, among the severe cases of  
9 enterocolitis patients had colonic perforation,  
10 some of them even died of peritonitis at the early  
11 clinical development of ipilimumab. With regard to  
12 the specific toxicity of PD1, I think it's worth  
13 mentioning the skin reaction, the endocrine  
14 reaction, the pneumonitis cases, the nephritis  
15 cases observed. It's basically we break immune  
16 tolerance so any kind of autoimmunity against  
17 self-antigen is possible. That's how we warn our  
18 investigators. So that requires vigilant  
19 monitoring, and a prompt rule out of other  
20 etiologies, and rapid start of corticosteroids.  
21 With regard to the patient selection and  
22 specifically liver metastases the problem is that  
23 we would exclude a high range of patients for an  
24 immune-mediated side effect that we know how to

1 detect, we know how to manage, and we have pretty  
2 good confidence that we are able to reverse this  
3 side effect. So, in the case of melanoma it's up to  
4 30 percent of the case series of melanoma have shown  
5 that patients may have liver metastases. So it's  
6 a significant proportion of the population we  
7 intend to treat. Finally, the recovery. And how  
8 can we expect the recovery? I think there are two  
9 things. First of all, we're dealing with monoclonal  
10 antibodies with a very long half-life. They have  
11 high affinity to the receptor. Some of them have  
12 slow off rate. And those monoclonal antibodies  
13 have half life up to 20 days. So it's -- a full  
14 clearance of the monoclonal antibody in the body  
15 system would take up to 100 days. On top of this  
16 we're dealing with binding to cells that are  
17 activated. And those cells, they may have even  
18 more than 100 years of activation. I'm considering  
19 here the memory T cells that are activated by  
20 ipilimumab. And those cells actually even provide  
21 further efficacy against relapse. So they are also  
22 interesting -- it's an interesting benefit of those  
23 monoclonal antibodies. So it's hard to tell you  
24 exactly how can we predict the recovery because of



1 those multifactorial issues.

2 DR. AVIGAN: Great, thank you.

3 DR. UETRECHT: One side comment. I  
4 thought it was interesting, there was a recent  
5 paper. The microbiome is sort of blamed for  
6 everything. But there was a recent paper that found  
7 that the presence of specific gut bacteria were  
8 protective with respect to ipilimumab-induced  
9 colitis. So, not surprising in that case that the  
10 microbiome would be very important.

11 DR. TILLMANN: Hans Tillmann, East  
12 Carolina University. Would prophylactic budesonide  
13 be an option? Prophylactic budesonide given the  
14 high frequency of DILI with some of the problems  
15 with enterocolitis, budesonide might be an option  
16 for both without compromising perhaps the rest of  
17 the immune system outside of the liver.

18 DR. REGEV: So, I'll try and then I'll  
19 let Cyril. I don't think that would be within the  
20 realm of possibilities. I think the -- as I  
21 mentioned, the occurrence of immune-related  
22 adverse events is actually considered a potentially  
23 beneficial sign. So that we would like to activate  
24 the immune system. Given immune suppressant up

1 front I'm not sure what would go along with that  
2 purpose. But I'm happy to hear Cyril's opinion.

3 DR. KONTO: So, it's been tested in the  
4 clinic for ipilimumab. We tested the emergence of  
5 severe colitis in patients treated with ipilimumab  
6 alone versus ipilimumab plus budesonide  
7 prophylaxis. And there were no difference in the  
8 incidents of severe colitis. This was a randomized  
9 phase II trial. I think we have the issue of also  
10 the activation of -- the depletion, sorry, of the  
11 T regulatory cells in the systems that is one of the  
12 main cause of those colitis cases which is far  
13 beyond the inflammation at the colonic level.

14 DR. ROSENBERG: I have one point to make  
15 -- to do with what Arie had said which was that one  
16 possibility for the cause of the autoimmune  
17 hepatitis one sees in this context might be the HCV  
18 and HBV. But if those are truly latent, I mean, they  
19 are latent because they've escaped immune pressure.  
20 So, when you have heightened immune pressure I don't  
21 see where they would then become targets. So, I  
22 think in the latent state it's unlikely that those  
23 would become targets for autoimmune types of  
24 responses. But perhaps more recent infection

1 possibly.

2 DR. REGEV: So actually there are a few  
3 case reports on hepatitis B, patients with  
4 hepatitis B, C and HIV that were treated. And  
5 generally the outcome is okay. The specific case of  
6 a hepatitis C patient that was treated with -- the  
7 patient refused to get interferon initially. He  
8 had a detectable HCV RNA level, refused to get  
9 interferon, and was treated with checkpoint  
10 inhibitors. His disease showed regression, and  
11 then he was treated with interferon.

12 DR. ROSENBERG: Yes. Well, that  
13 scenario doesn't surprise me. Poorly treated,  
14 ongoing, yes.

15 PARTICIPANT: Hi, I have two  
16 questions. One, I want to get comments on the  
17 combinations. So, what's the criteria to combine  
18 the immune checkpoint inhibitor with other  
19 anti-cancer treatment? Because from the epiblast  
20 inhibitor in the mouse system or in the subconscious  
21 system has worked perfect. And no toxicity, the  
22 tumor shrinks. But nearly 80 percent develop the  
23 liver toxicity. So my concern is that there are so  
24 many combinations PD1. I check the clinical trial

1 and nearly like 500 trials ongoing. So, when the  
2 company develop this kind of combination what's the  
3 rationale? First question.

4 DR. ROSENBERG: Can you repeat the  
5 question?

6 DR. KONTO: Yes. So, the question if I  
7 captured it right, and please feel free to correct  
8 me, is what are the rationale that a pharmaceutical  
9 company could put in place to prioritize the  
10 numerous potential combination between immune  
11 modulators, but also between immune modulators and  
12 other type of interventions, should it be TKI,  
13 chemotherapy, radiotherapy, or anything else. I  
14 think that's a critical question because as you  
15 mentioned there are many potential combinations and  
16 we cannot develop all those combinations. We don't  
17 have the patient first. So, what we're doing is  
18 we're assessing an immunogenic cell death score for  
19 the different types of modalities. Depending on the  
20 necroptosis, apoptosis, you have release of HMGB1,  
21 calreticulin which are immunoactive agents. So,  
22 those type of -- we are assessing the different  
23 immuno score for the different TKIs, chemotherapy  
24 but also radiotherapy. And we will privilege those

1 interventions with a higher immuno score,  
2 immunogenic cell death score with the assumption  
3 that those agents are going to prime the immune  
4 system, and that those immune checkpoint modulators  
5 will boost the original immune response against the  
6 tumor. So that's one strategy. On top of this what  
7 we do is we assess the immune system impairment  
8 induced by those potential combinatorial  
9 candidate, making sure to select those agents that  
10 are going to have less impairment to the immune  
11 system because we rely on the immune system to  
12 attack the tumor. So that's a second consideration  
13 we're having. And of course once we have selected  
14 this we have mice model which are pretty poor model  
15 to predict what's going to happen in the clinic. So  
16 we do our tumor growth inhibition model in different  
17 model, in the CD38, MC58, B16 melanoma mice models.  
18 But it is fair to say that those models do not  
19 necessarily reflect what's going to happen in the  
20 clinic. Sometimes we have to use mice surrogate  
21 monoclonal antibodies because the immune system of  
22 mice has differences from the immune system of  
23 human. And more and more what we are evolving toward  
24 is those humanized mice model. We have now a

1 transgenic mouse with complete human systems.  
2 That's also pretty expensive at this stage.

3 PARTICIPANT: Yes, just to follow your  
4 answer. So, the ideal combination, the tyrosine  
5 kinase inhibitor or other anti-cancer cell with PD1  
6 is that you --

7 DR. ROSENBERG: No one can hear you.

8 PARTICIPANT: Okay. So you buy the  
9 time for the immune anti-PD1 because from the  
10 clinical trial this build anti-cancer immune  
11 response with time. And your hypothesis might be to  
12 kill the tumor cells, then increase immunogenicity  
13 for the PD1. But now from a lot of literature you  
14 can see actually a lot of the cancer cell, they  
15 actually have a profound effect on the immune  
16 system. So this combination for example in the  
17 epiblast inhibitor plus ipi, this two drugs  
18 combination we actually know the mechanism.  
19 Because the inhibitors have an effect. They can  
20 activate non-tumor cells and produce the TNF alpha  
21 and IL6 after that. So this is a comment.

22 So another question as to that. From the  
23 clinical trials, the anti 4-1BB. So like toxicity  
24 is dose dependent we know. But from the ipi trial

1 of PD1 we may not see this dose dependent. So my  
2 question is from these trials what we can learn.

3 DR. KONTO: I believe that with  
4 ipilimumab we observed dose dependent toxicity.  
5 And it's been shown in studies when at 3mg per kg  
6 and 10mg per kg dose. For 4-1BB the question was more  
7 for what is the cutoff for the higher risk of liver  
8 toxicity. And the retrospective analysis done in  
9 all the patients we did with urelumab at  
10 Bristol-Myers Squibb came to higher risk in  
11 patients that were treated at 1mg per kg and above,  
12 inducing those discussions with the FDA to restart  
13 the clinical development of urelumab at far below  
14 doses than 1 mg/kg.

15 DR. REGEV: So, two last questions on  
16 this side before we break.

17 DR. WRIGHT: Terry Wright, Genentech.  
18 Just to follow up on the hep B/hep C question which  
19 we haven't really fleshed out. I agree most of those  
20 patients have been excluded the oncology trials.  
21 Nevertheless there's overlapping epidemiology of  
22 hepatitis B and lung cancer, for example. So this  
23 will become an issue in clinical practice even if  
24 we don't sort it out in the registrational trials.

1       There's some data on treatment of hep C patients,  
2       actually a lot more data on the treatment of hep C  
3       patients in the literature than hep B patients in  
4       the literature. With that being said if we're going  
5       to try and reduce the risk to patients who have hep  
6       B and hep C, and there are of course half a billion  
7       people with hepatitis B and hepatitis C in the world  
8       who are also going to get cancer, one approach is  
9       to try and clear the hepatitis C virus preemptively.  
10      I don't know whether that will reduce risk, but it's  
11      one approach. Another approach for hep B is to put  
12      patients on antivirals and have them virally  
13      suppressed. I think that's what most people are  
14      doing. I'm not sure that really reduces -- it makes  
15      us feel better. But since most of the  
16      immune-mediated injury is based on proteins rather  
17      than intact viruses I'm not sure -- and there's  
18      plenty of protein around in patients who are virally  
19      suppressed. I'm not sure we're doing a whole lot,  
20      but I think we should nevertheless do it. So my  
21      question I guess to the panel is with where we sit  
22      now should these patients be studied preemptively,  
23      proactively, before or as these drugs are approved  
24      so we really understand the risk-benefit in these



1 patients? Or do you think this is something we can  
2 just monitor in the post-approval setting?

3 DR. REGEV: Well, my personal opinion,  
4 I think we should study preemptively. I'm sure FDA  
5 would support this. We wouldn't want to find out how  
6 these patients behave only after these drugs are on  
7 the market. And in our case and I think in your case  
8 as well, and in other companies, if you have  
9 hepatocellular carcinoma patients in your  
10 portfolio then you have no choice because those  
11 patients have hepatitis B and C regardless. You  
12 can't find HTC patients with no viruses. So, I think  
13 the approach should be to try to somehow find  
14 approaches to minimize complications such as  
15 prophylactic hepatitis B treatment, again, without  
16 really knowing what it does. And getting rid of the  
17 hepatitis C virus before we start treatment. This  
18 is a whole new chapter in the book. We'll have to  
19 find out through data how these patients behave, I  
20 agree.

21 PARTICIPANT: I wanted to follow up on  
22 something I was asking before. This is sort of  
23 thinking out of the box which we are paid to do in  
24 the FDA. If you don't believe that or not. So, I

1 asked about the PD1, or these antibodies being  
2 captured in the liver through this RES and the  
3 possibility that that actually could capture cells  
4 that are coded with antibody, or the PD1 positive  
5 cells. And so it's clear now that in the periphery  
6 in cancer patients from Rosenberg Lab that if you  
7 look at the PD1 positive cells in the circulation  
8 you find the tumor reactive T cells, they're  
9 actually the same T cell receptors as you find in  
10 the tumor. So, I'm bringing up this possibility that  
11 if those PD1 positive cells that could do some good  
12 get captured in an immunosuppressive environment  
13 that in fact could tolerize those same T cell  
14 reactivities. And I would suggest, not that that's  
15 possible or not, but that maybe you want to  
16 interfere with this capture, FC receptor capture,  
17 in this immunosuppressive liver environment. So the  
18 concept would be you're capturing T cells into this  
19 suppressive environment, turning those off. If  
20 there's an active suppression, in this case it would  
21 be CD8s, they could turn off what you're actually  
22 trying to stimulate. And are there ways to prevent  
23 that from happening. And these are models you  
24 could probably test, you know, just block FC

1 receptors and prevent the cells from localizing to  
2 the liver.

3 DR. REGEV: Any response?

4 DR. KONTO: No. Interesting comment.

5 DR. REGEV: Yes, I agree.

6 DR. UETRECHT: So, lunch is ready. I  
7 have one last plea that somebody needs to figure out  
8 how to do studies on how to treat these patients  
9 better because it's a mess right now. And I don't  
10 know what the mechanism is, but I really think it  
11 needs to be done. Thank you.

12 (Applause)

13 (Whereupon, the above-entitled matter went off the  
14 record at 11:42 a.m. and resumed at 12:44 p.m.)

15

---

16 DR. WATKINS: I'd like to take a moment  
17 to say what a successful session this is. This is  
18 the highest paid attendance in the almost two  
19 decades these meetings have been occurring. And I  
20 guarantee it's the highest attendance after lunch  
21 on the last day here for sure. And I think it shows  
22 not only the continued and maybe rising importance  
23 of drug-induced liver injury, but the real  
24 excitement around the science that's evolving here.

1 It's no longer about bromobenzene and acetaminophen  
2 anymore. So it's really exciting.

3 And I'd like to take another opportunity to  
4 thank John and Lana really for putting together yet  
5 another meeting program here. (Applause)

6 DR. WATKINS: Now, we've shortened the  
7 duration of presentations to 15 minutes which I'd  
8 like to say is not because at the end of two days  
9 your attention span is less, but it was everybody  
10 we asked said yes which was unanticipated. So at  
11 this point I'd like to hand over the podium to my  
12 co-chair Gyongyi Szabo to introduce the first part  
13 of the session.

14 DR. SZABO: Thank you. It's a great  
15 pleasure to introduce John Senior who is going to  
16 give the first talk in this session. And I think his  
17 visionary leadership shows even in the title of his  
18 talk that's going to be "Is the eDISH program the  
19 Long-sought and Best Current Biomarker for DILI?"  
20 So we all look forward to your presentation, Dr.  
21 Senior.

22 **4.1#1 JS:** DR. SENIOR: Thanks, Gyongyi. We are  
23 so pleased to have Gyongyi, who's the immediate past  
24 president of AASLD. And I guess I'm the oldest past

1 president who's here, but we have a total of 8 AASLD  
2 past presidents at this meeting, 5 speakers and 3  
3 in the audience which is remarkable. We are  
4 delighted to have support of AASLD that publishes  
5 our proceedings on the internet. Wonderful!

6 So, is eDISH a biomarker? That's a question  
7 that's come up. When Ted Guo and I developed eDISH  
8 13 or 14 years ago, we weren't thinking biomarker.  
9 We were thinking about what we could do to help make  
10 a diagnosis of DILI according to ideas that Hy  
11 Zimmerman had been talking and writing about,  
12 because what Hy was writing about worked. It told  
13 the truth. It said what was effective. So, we at  
14 that time were both in the same office, called the  
15 Office of Pharmacoepidemiology and Statistical  
16 Science, OPaSS. So, Ted, a statistician, and I, an  
17 internist, were able to work together in the same  
18 office. And Ted's a remarkable person. We come  
19 from different cultures, Ted from Shanghai and I  
20 from Philadelphia. I was an internist and he's a  
21 statistician. But somehow the chemistry worked.

22 **4.1#2 JS:** What is a biomarker? There was a  
23 excellent conference in 2001 when they tried to  
24 define what a biomarker was. It's a lot of things.

1 It's a test that makes a diagnosis; a test that says  
2 something about severity; it can be predictive.  
3 There're many things that a biomarker can do, and  
4 there are many kinds of biomarkers. If you just  
5 enter "biomarker" in the PubMed search window you  
6 get over 760,000 papers. And a lot of them just  
7 say, we really need a biomarker; wouldn't it be  
8 wonderful to have a biomarker . . . a biomarker that  
9 takes the thinking away. Just do the test and you  
10 have your answer. Well, it's not that easy.

11 **4.1#3 JS:** So, this is the usual disclaimer, but I  
12 recall when Hy Zimmerman and I were working together  
13 I was concerned about two drugs, bromfenac and  
14 troglitazone, that the FDA had approved in 1997  
15 shortly after I had arrived there in June of 1995.

16 **4.1#4 JS:** In the year 1997 FDA approved eight drugs  
17 that later had to be taken off the market for fatal  
18 toxicities, four for liver (especially troglitazone  
19 and bromfenac), three for heart and one for muscle  
20 toxicity. I spoke to Hy that summer of 1998 and  
21 suggested that we should discuss this problem. He  
22 agreed, and offered to speak.

23 **4.1#5 JS:** But unfortunately he couldn't because he  
24 developed cancer of the tongue. When we had the

1 meeting in April of '99, he could no longer talk and  
2 Jim Lewis gave his talk for hi. But Hy came and was  
3 there when Bob Temple suggested that we should call  
4 his findings Hy's Law. So, what did Hy say? --- at  
5 this meeting in 1999 , the last public meeting that  
6 Hy ever attended. He modestly objected. But he  
7 died in July. And he gave everybody a copy of his  
8 book (second edition).

9 **4.1#6 JS:** Hy had said and written repeatedly that  
10 drug-induced (one), hepatocellular (two), jaundice  
11 (three), is a serious, sometimes fatal disorder.  
12 Drug-induced, hepatocellular, jaundice; three  
13 items. It is not just some chemistries; it's  
14 something very special. Hy stated it in 1968 when  
15 he gave the Kober Lecture at Georgetown; he talked  
16 about it at a NIH Fogarty meeting in 1978. And Bob  
17 Temple was there, heard him talk about his ideas and  
18 he said, "gee, that's interesting." And he kept it  
19 in mind for 20 years, and he found that what Hy said  
20 always worked, was always true.

21 **4.1#7 JS:** So here was the first conference. We  
22 started the first conference for FDA reviewers in  
23 April 1999. And we had a tremendous turnout, 320.  
24 We had to turn people away. We had people sitting

1 on the steps in the auditorium. And they demanded  
2 that we do a rerun which Bob and I did for 75 or 80  
3 more in November that year. So we reached 400 FDA  
4 reviewers.

5 **4.1#8 JS:** Well, what was so special about that?  
6 Well, the FDA reviewers recognized the problem, and  
7 they began to demand that companies give them liver  
8 data when they submitted new drugs for approval.  
9 Well, the companies want to get approvals so they  
10 did what they were asked to do. So, through the  
11 reviewers, the companies began to get the word. And  
12 look, we have a couple of hundred people from them  
13 here right now. And every company in the world is  
14 now paying attention to this problem. Am I right,  
15 Arie? Right. Okay. We didn't record that first  
16 program like we're recording this program, but we  
17 were just learning.

18 **4.1#9 JS:** So, in the years following that NIH had  
19 a conference the following year at the Lister Hill  
20 Center. Industry said, "we want to come." So we  
21 had a conference in Chantilly in 2001, and 300  
22 people came, which was Lana's first meeting, and  
23 we've been inviting them ever since.

24 **4.1#10 JS:** The major problem was making a



1 diagnosis. That's the hard part. How do you  
2 diagnose DILI? We're still struggling with that.  
3 How do you diagnose it in people who have no previous  
4 liver disease, or some previous liver disease, or  
5 they're getting some other treatment, this, that,  
6 or the other? Not an easy thing to do. So, making  
7 a diagnosis was the first challenge that we had.

8 **4.1#11 JS:** We started by looking at clinical  
9 trials. The idea was to **prevent** DILI rather than  
10 try to find it after it occurs. That was an  
11 interesting idea. But how do you prevent it?  
12 Easy: don't approve drugs that are going to kill  
13 people from liver failure. So we developed this  
14 eDISH program.

15 **4.1#12 JS:** And you've seen this two-dimensional  
16 plot, x-y plot. It plots on the abscissa the peak  
17 ALT, the highest value anytime during a clinical  
18 trial for each subject, and peak total bilirubin  
19 (TBL) on the ordinate. There are almost 4000  
20 subjects here, a symbol for each of them. So, each  
21 point represents peak values for two variables.  
22 One indicates the rate of injury to hepatocytes,  
23 leakage of the enzyme into the plasma, and the other  
24 a measure of dysfunction, because one of the

1 principal functions of the liver is to clear the  
2 blood of bilirubin.

3 We heard yesterday from Greg Everson about  
4 clearance of cholic acid. But clearance of  
5 bilirubin is a little simpler. So everybody does  
6 TBLs and ALTs. We plot the two measures, but it is  
7 not diagnostic. And I want to emphasize that fact  
8 over and over again. A point in the upper right  
9 quadrant is not diagnostic of DILI, because nothing  
10 says what the cause might have been. It says only  
11 there's been some liver cell injury, and some loss  
12 of liver function. They've taken the drug, but we  
13 don't know what caused the abnormalities. It could  
14 be disease, it could be a lot of things. So,  
15 causality was not determined.

16 **4.1#13 JS:** So, how did we approach that? Well, we  
17 did another thing. We looked at a variable called  
18 **time**, not in everybody, only in the few people who  
19 might have possibly significant liver injury and we  
20 had to find out why. So, just click in the right  
21 upper quadrant on one of those subjects, and now  
22 you're looking at changes in one person over time.

23 **4.1#14 JS:** And what we get was one of these figures  
24 that you've seen many times now. The time course

1 of all four liver tests (ALT, AST, ALP, TBL) done  
2 for that one patient over the entire period of time  
3 of study. What do we learn from that? Does it make  
4 a diagnosis? No. But it does help you to see what  
5 came first. Did the bilirubin rise precede the ALT  
6 rise? If so, it probably wasn't caused by the liver  
7 injury. On the other hand, if you got the liver  
8 injury first and then got bilirubin rise it might  
9 be. So you began to get some idea of causality.  
10 You also see if it's getting better or worse and how  
11 fast, other characteristics of a biomarker.

12 **4.1#15 JS:** But that wasn't enough. We got what  
13 was called a narrative. Ideally the narrative  
14 should reflect what the attending physician thought  
15 and did to make the diagnosis. Unfortunately we  
16 don't always get good narratives, often just a data  
17 dump of the case report because they're not prepared  
18 by medical doctors; they're prepared by clerks  
19 working for the company. That's not the same thing  
20 because we have to get the medical diagnostic  
21 reasoning involved. Chemists, pharmacologists,  
22 statisticians, and they're all very learned people  
23 and work on developing new drugs. They have PhDs;  
24 they're all called doctors. But there's one thing

1 that's different about medical doctors that the  
2 others don't do.

3 **4.1#16 JS:** What are they doing? A patient shows  
4 or complains of this, that, or the other thing. **Why**  
5 do they have it? What's **causing** it? That's  
6 important to know because it maybe tells you what  
7 you need to **do** about it. You have to take action.  
8 You're expected to know what's going on, or  
9 immediately find out and take action. Stop the  
10 bleeding; remove the tumor or the infected  
11 appendix; treat the infection; correct the  
12 deficiency. Whatever it may be, you have to take  
13 action. It's expected of you; you can't just walk  
14 away. You alone have that responsibility. So  
15 making the diagnosis is critical.

16 **4.1#17 JS:** There is no such thing as Hy's Law  
17 chemistries, just because the ALT and bilirubin are  
18 elevated. That's nonsense. That's not the end;  
19 it's only the beginning. It's the beginning of the  
20 process of thinking, thinking about what's **causing**  
21 it, what can you rule in and rule out. So we have  
22 to stop using that term. I don't know how we can  
23 get rid of it. I've said it till I'm jaundiced in  
24 the face -- to get rid of this bad term that's used

1 by statisticians often to say that's a Hy's Law  
2 case. It's not.

3 **4.1#18 JS:** So, the first graph, the x-y plot is a  
4 useful starting place, but it does **not** make a  
5 diagnosis. Now you need to start thinking about n  
6 of 1, a single person, using a different kind of  
7 thinking, starting with some information - person  
8 took drug, showed worrisome findings. It's only the  
9 beginning of a process of thinking. What is this  
10 medical differential diagnosis thought process?  
11 The time course and additional biomarkers then  
12 become very useful in ruling out or in the possible  
13 causes. It represents sequential logical reasoning  
14 described by Reverend Thomas Bayes in 1763. Get more  
15 information; think; make the diagnosis of what was  
16 probably **causing** the problem.

17 **4.1#19 JS:** So, eDISH does **not** look like just a  
18 biomarker. It uses biomarkers, but it uses medical  
19 thinking, logic. It's not using just counting  
20 numbers. You're beginning to think about what is  
21 going on in this individual person. But eDISH really  
22 does a lot of the tasks that biomarkers do.

23 **4.1#20 JS:** Bob Temple has pointed out that Hy's Law  
24 works, and I'm saying eDISH also works because eDISH

1 was developed to reflect the thinking that Hy used  
2 when he developed his concept.

3 All societies in the world have put  
4 responsibility on medical doctors to take care of  
5 patients, which physicians and surgeons have  
6 accepted -- responsibility for the patient's life.  
7 Anybody who's ever done that can no longer go back  
8 to the way they were; it's a life-changing  
9 experience. Regardless of whether they become  
10 administrators, businessmen, or even congressmen,  
11 they can never forget that they once took  
12 responsibility for their patients' lives.

13 **4.1#21 JS:** Now, I told you about these drugs. It  
14 was a bad year at the FDA in 1997. There was  
15 pressure from Congress to approve drugs more  
16 quickly and so they did, sometimes over some  
17 concerns or objections from the reviewers. And it  
18 was a disaster. Two we got interested in 1998 were  
19 troglitazone and bromfenac, that began to kill  
20 people with liver failure. Bromfenac, who needs  
21 another analgesic that kills people? Troglitazone  
22 was a new treatment for diabetes and there was a lot  
23 of pressure to keep it around and it hung around for  
24 about three years until alternatives called

1       rosiglitazone and pioglitazone came along. So, FDA  
2       has not approved a single drug in 18 years that had  
3       to be removed from the market for liver failure. I  
4       think we may be getting somewhere.

5       **4.1#22 JS:** There were also four other drugs  
6       approved that year that later were removed from the  
7       market because they caused heart failure, cardiac  
8       arrest, or muscle-kidney toxicity, and two more  
9       that caused liver failure.

10      **4.1#23 JS:** I hope that someday we can say that  
11      drug-induced liver injury is a preventable disease,  
12      that we can avoid it. We can learn how to not kill  
13      people with drugs. I don't know if we'll get there.  
14      The new monoclonals are really challenging.

15      **4.1#24 JS:** Here again is what biomarkers were  
16      defined to be. It looks like eDISH is something  
17      more.

18                 There's something it doesn't do very well so  
19      far, and that is predict the future. So, maybe  
20      eDISH needs to be improved, Paul, and you are  
21      working on it.

22      **4.1#25 JS:** It is not just a biomarker, it's a  
23      thought process, a medical reasoning process  
24      looking for the cause, to make a diagnosis so you

1 know how to treat the problem to relieve it, make  
2 it better, or make it go away.

3 **4.1#26 JS:** Can eDISH be combined with other  
4 methods? Recently Gaby Danan in France has teamed  
5 up with Rolf Teschke in Germany to update the old  
6 RUCAM, the Roussel Uclaf Causality Assessment  
7 Method. It's no longer RU; Gaby's not working for  
8 Roussel Uclaf anymore, and his friend Christian  
9 Benichou has died. It's now a European update.  
10 So I proposed to Gaby that they call it **EUCAM** instead  
11 of RUCAM. Well, he didn't buy that. He wanted to  
12 honor the memory of Christian Benichou. So, okay,  
13 at the moment it's just a proposal that the revised  
14 RUCAM be considered the European standard. If it  
15 could be combined with eDISH, a good RUCAM or EUCAM  
16 might be used to look at the patients in the right  
17 upper quadrant that would then improve it.

18 **4.1#27 JS:** Now, can it be improved further? Can  
19 it be improved to be more predictable? And you will  
20 hear in a few moments about a new technique to  
21 **predict** the likelihood of death in patients with  
22 DILI. And Paul's group is working on that, with Dan  
23 Antoine who will be speaking to you momentarily.  
24 Thank you very much.



(Applause)

---

DR. SZABO: Thank you very much, John. So we will move onto the program and it is my pleasure to introduce Rachel Church who is a research assistant professor at the Eshelmann School of Pharmacy at UNC And Rachel is going to talk about transformative DILI biomarkers, DILIN/SAFE-T collaboration.

**4.2#1 RC:** DR. CHURCH: Great, thanks, everybody. I really appreciate this opportunity to talk to you guys about some really exciting findings that have come out of this collaboration between the DILIN network and SAFE-T.

As we've been discussing for the past day and a half DILI is a serious problem that can have some very dire consequences in individuals who experience it. And I think that's summarized nicely here in this table that was actually published by the DILIN. And what it shows is that of the patients almost 660 in this cohort of DILI patients 10 percent actually by 6 months needed a liver transplant or had actually died. Unfortunately, right now we don't have any great biomarkers to

1 predict who is going to go on to experience one of  
2 these really serious DILI events and that motivated  
3 this collaboration.

4 **4.2#2 RC:** So, as I mentioned this was a  
5 collaboration between the Drug-induced Liver  
6 Injury Network and they prospectively collect serum  
7 samples from patients with suspected DILI resulting  
8 from prescription use, herbs and dietary  
9 supplements. And it was in collaboration with the  
10 Safer and Faster Evidence-based Translation which  
11 is a biomarker consortium supported by the  
12 Innovative Medicine Initiative in Europe.

13 And the aim of this is to qualify some  
14 of these newer candidate biomarkers in drug-induced  
15 toxicities, and in this case in DILI. So as I said  
16 the aim of this project was really to determine  
17 whether some of these newer candidate biomarkers  
18 was more prognostic for an adverse outcome compared  
19 to some of the more conventional tests that we use  
20 currently. So, this project looked at many  
21 biomarkers and there was some very interesting  
22 data. But due to time limitations I'm only going  
23 to focus on two today, and that's total HMGB1 as well  
24 as acetylated HMGB1. And I'll give you a little bit

1 more information on how those are mechanistic  
2 biomarkers in a minute.

3 But before I get into that I just want to give  
4 you a few definitions for characteristics to define  
5 a biomarker's performance because I'll use them and  
6 I want to make sure that you understand what they  
7 are. So, sensitivity is the proportion of positives  
8 that are actually classified as positives. So the  
9 cases that are identified as cases by the biomarker.  
10 Specificity is the inverse. It's the proportion of  
11 negative cases, or controls that are correctly  
12 identified as controls. Positive predictive value  
13 is the percentage of positive tests that are cases.  
14 And the negative predictive value is the proportion  
15 of negative tests that are controls.

16 **4.2#3 RC:** Okay, so to give you a little bit of  
17 background on how HMGB1 is used as a mechanistic  
18 biomarker I will give you some background. So, HMGB1  
19 is a ubiquitous protein that's primarily nuclear.  
20 However, it can shuttle back and forth from the  
21 nucleus to the cytoplasm. And so here it is in our  
22 hepatocyte. And the hepatocyte is going to undergo  
23 some form of injury, and then two of the main forms  
24 of cell death that it can undergo are necrosis and

1 apoptosis. Now, both forms of these cell death can  
2 passively release HMGB1 into circulation. But  
3 it's important to note that these two different  
4 forms of cells death actually result in different  
5 post-translational modifications that allow you to  
6 distinguish it in circulation. Now, I won't spend  
7 any time talking about that, but it is important to  
8 note that the form released from necrotic cells  
9 actually has a post-translational modification  
10 that marks it as a drug-associated molecular  
11 pattern, or a DAMP. And this DAMP in circulation can  
12 stimulate recruitment as well as activation of  
13 immune cells. And these immune cells, including  
14 Kupffer cells, actually can actively release HMGB1.  
15 And this requires acetylation of specific lysine  
16 residues on HMGB1, and then that can be released  
17 into circulation as well and identified by means of  
18 measuring these post-translational modifications.

19 **4.2#4 RC:** So with that being said I will get back  
20 to the study that we did. As I mentioned, we wanted  
21 to look for prognostic biomarkers so we only  
22 analyzed samples that had been collected within two  
23 weeks of DILI onset in cases with a causality  
24 assessment of probable or higher. And this turned

1 out to be 147 patient samples. So, within this  
2 cohort there was 131 who had not undergone an  
3 adverse outcome by 6 months, and 16 who had. And  
4 that's defined as somebody who either needed a liver  
5 transplant or had actually died as a result of their  
6 DILI.

7 **4.2#5 RC:** So, because this is a comparison to  
8 traditional biomarkers I wanted to first show you  
9 some of that data. This is ALT and AST. You can see  
10 ALT was not significantly elevated in those who had  
11 experienced an adverse outcome. AST actually was.  
12 But what I think is pretty obvious is that there's  
13 a great number of false positives there. ALP similar  
14 to ALT was not significant. When you look at INR and  
15 bilirubin you can see again there was a significant  
16 elevation in those who had an adverse outcome. But  
17 again there was quite a bit of false positive noise.  
18 So, the take-home message is that while several of  
19 these biomarkers had good sensitivity they really  
20 lacked specificity.

21 **4.2#6 RC:** Okay, so without further ado I'm going  
22 to show you the HMGB1 data. First we looked at total  
23 HMGB1. And you can see that there was a shift,  
24 there was a significant elevation in those who had

1 an adverse outcome. But again quite a few false  
2 positives. However, the really exciting finding was  
3 that when we measured acetylated HMGB1 you can see  
4 that there was a very nice shift in individuals who  
5 had an adverse outcome. But something that might be  
6 even more interesting to you is that the individuals  
7 who did not have an adverse outcome actually had  
8 this bimodal distribution. So obviously there's  
9 more to this story. You can take the people who did  
10 not have an adverse outcome and break them down  
11 further.

12 **4.2#7 RC:** So there were 112 patients who had  
13 recovered by the six-month visit, and there was 19  
14 individuals who actually were still meeting the  
15 criteria to be considered DILI. And those are the  
16 chronic patients.

17 **4.2#8 RC:** So again, this is the data I just showed  
18 you, but when you separate the non-adverse outcome  
19 patients into recovered and chronic you can see that  
20 remarkably you can separate recovered from chronic  
21 from adverse pretty spectacularly.

22 **4.2#9 RC:** So I went ahead and did an AUROC  
23 analysis. And for anybody who's not familiar with  
24 this, basically the horizontal line across the

1 middle represents 0.5, and anything close to that  
2 is basically saying you can flip a coin and you would  
3 be just as accurate at identifying a case from a  
4 control. But what you can see is that the AUC of  
5 acetylated HMGB1 was almost 1. And when you look  
6 at the 95 percent confidence interval you see that  
7 it didn't overlap at all with the next best  
8 biomarker which was INR. So now when setting a  
9 threshold of I guess significance to determine who  
10 you should call a case and who you should call a  
11 control it's really important due to the severity  
12 of this adverse outcome that you identify every  
13 single person. So you really want to have a  
14 sensitivity of 1 and then you want to have the  
15 highest specificity as possible. So with the  
16 natural log value of 1.332, using that, anything  
17 above that, you have a sensitivity of 1 and a  
18 specificity of 0.96. So again, very, very good  
19 biomarker. So then I guess the next question is  
20 using this, is it better than some of the other  
21 criteria that we've established.

22 **4.2#10 RC:** So I looked at Hy's Law as well as MELD  
23 because of the talks yesterday. So this is the  
24 contingency table for Hy's Law. And when you look

1 at the performance you can see it was significant  
2 and it had pretty decent sensitivity and  
3 specificity. But the positive predictive value  
4 was pretty low.

5 MELD did much better. It was very  
6 significant. It had very good sensitivity and  
7 pretty good specificity. But again the positive  
8 predictive value was pretty low. So then when you  
9 look at acetylated HMGB1 you can see that the  
10 positive predictive value was now pretty high, and  
11 then it had as I mentioned perfect sensitivity and  
12 nearly perfect specificity.

13 **4.2#11 RC:** So then I wanted to look at whether you  
14 could get better measurement if you separated not  
15 recovered into -- to not recovered or recovered.  
16 So basically I grouped the chronic and adverse  
17 outcome patients. And you can see when you do it that  
18 way there's basically no contest. The other  
19 biomarkers really didn't do a very good job of  
20 identifying the chronic patients. But when you  
21 group chronic with adverse outcome you see you get  
22 a perfect AUC. And the best value for that was  
23 anything above -0.2078. And it gave you  
24 sensitivity and specificity of 1.



1       **4.2#12 RC:** So there's a little bit more to this  
2 story. SAFE-T also had several cohorts of patients  
3 that they looked at and they measured these  
4 biomarkers as well. So again, this is what the DILIN  
5 data looked like. And to everybody's surprise this  
6 is what the SAFE-T data looked like. Basically all  
7 of their patients recovered. They didn't have  
8 anybody who experienced an adverse outcome, yet you  
9 can see a good many of the patients had acetylated  
10 HMGB1 values that were in the same range as our not  
11 recovered patients.

12       **4.2#13 RC:** So, what we've thought about this is  
13 that one of the main differences between our data  
14 sets is that SAFE-T, many of their patients were  
15 enrolled in clinical trials. So they had these  
16 DILI samples collected very early after the onset  
17 on DILI. On average while DILIN were collected eight  
18 days after DILI onset SAFE-T samples were collected  
19 within one day. And even when you break it down and  
20 look at symptom onset to blood sample collection you  
21 can see the SAFE-T samples were collected earlier.

22               So our hypothesis now, our working  
23 hypothesis is that all of these DILI patients  
24 initially had a bump in acetylated HMGB1. And then

1 depending on whether they would go on to recover,  
2 have a chronic outcome, or an adverse outcome, their  
3 levels either went back to baseline which is in the  
4 same range as healthy volunteers, kind of stayed  
5 consistently lowly elevated which is the chronic  
6 patients, or continued to become elevated and those  
7 would be the individuals that go on to experience  
8 an adverse outcome.

9 **4.2#14 RC:** So, we're exploring that further right  
10 now. Several samples from the SAFE-T that were  
11 actually taken one week after onset are now being  
12 analyzed to determine if now, if you look at these  
13 one week samples the acetylated HMGB1 levels have  
14 fallen down to baseline. We're also looking at new  
15 and repeat DILIN samples to see if we can confirm  
16 these results that we've seen. And finally, given  
17 that HMGB1 is not a liver-specific biomarker we're  
18 also measuring miR-122 and hoping to normalize the  
19 data to that to get specifically at the proportion  
20 of this biomarker that's liver-specific.

21 So, in conclusion what I've shown is that  
22 acetylated HMGB1 levels measured within two weeks  
23 of DILI onset appear to be highly sensitive and  
24 specific prognostic biomarker for chronic as well

1 as adverse outcome. And that performance parameters  
2 for the identification of adverse outcome were  
3 superior when looking at acetylated HMGB1 compared  
4 to Hy's Law as well as MELD score.

5 **4.2#15 RC:** With that I'd like to thank everyone in  
6 DILIN and SAFE-T. Thanks a lot.

7 (Applause)

8

---

9 DR. SZABO: Thank you. It's my great  
10 pleasure to call Paul Watkins to the podium who will  
11 talk about application of novel biomarkers to  
12 assess liver safety in clinical trials.

13 **4.3#1 PW:** DR. WATKINS: Okay. That was, what  
14 Rachel presented was the first public presentation  
15 of that data. And obviously it's a work in  
16 progress. But if in fact the hypothesis is verified  
17 from my perspective that's the most exciting  
18 clinical finding in drug-induced liver injury ever  
19 because it will transform potentially safety  
20 monitoring, but also allow us in DILIN for the first  
21 time to identify patients that an IRB would allow  
22 them to intervene and do some sort of therapeutic  
23 intervention.

24 So, I used to be with the Hamner UNC Institute

1 for Drug Safety Science. The Hamner suddenly  
2 dissolved, but the school of pharmacy, Eshelmann  
3 School of Pharmacy, came in and hired everybody.  
4 They rented the space and Hamner gave them all the  
5 equipment so we were able to go on with no problem.  
6 The public-private partnership which was housed  
7 within the Hamner Institute moved over to the  
8 proprietary arm called DILIsym Services. There  
9 was no choice because companies weren't writing  
10 their checks at that moment that the Hamner made  
11 their announcement. And my only potential conflict  
12 is I own equity in that company.

13 **4.3#2 PW:** John showed you this slide, which shows  
14 treatment with the drug up until about day 65 of this  
15 individual. The drug was stopped when the ALT went  
16 over three times the upper limits of normal (3xULN).  
17 In spite of that, if you follow along you'll see the  
18 ALT, AST continued to rise for a few weeks, typical  
19 for these delayed idiosyncratic reactions. We  
20 think that can be explained by the adaptive immune  
21 attack being initiated and then turned around. The  
22 point that I'm making in this slide is the patient  
23 didn't qualify biochemically for a Hy's Law case  
24 until several weeks after the drug was stopped. And

1 this is not a unique situation in clinical trials.  
2 For instance, when this patient's ALT first rose  
3 above 2xULN, they were at risk for this occurring  
4 even though the drug was stopped before the ALT  
5 actually met the current 2009 guidance criteria.  
6 And this isn't just trivial liver chemistry  
7 abnormalities. We have an ALT of 30xULN, bilirubin  
8 10xULN. This patient in the clinical trial had a  
9 greater than 10% chance of dying according to Hy  
10 Zimmerman.

11 So, there's a desperate need for new  
12 biomarkers that will be able to tell not only  
13 whether an individual patient is in trouble or not,  
14 but whether a drug has the capacity to cause  
15 progressive liver injury and acute liver failure at  
16 much earlier time points, where the green bar is.

17 **4.3#3 PW:** And one thing that's worth mentioning is  
18 that I think we can get more information out of our  
19 existing biomarkers. As shown here on the left, you  
20 have the same peak serum ALT. But since we know the  
21 ALT is being released from dying cells, so obviously  
22 the kinetics of the release, the duration, the AUC  
23 should have some correspondence to the actual  
24 percent of hepatocytes lost. And it turns out that

1 you can with the same peak ALT -- this was a  
2 publication from the DILIsym Initiative -- have  
3 threefold difference in the estimated percent of  
4 total hepatocytes that are lost. And that's just  
5 basic pharmacokinetics.

6 **4.3#4 PW:** In addition, what the modeling has done  
7 -- all part of the public-private partnership  
8 initiative -- is to look in the literature for the  
9 content of ALT per cell, and the variation in half-  
10 life of ALT, ranging from a day to almost three days  
11 in the literature. And actually create then a  
12 virtual patient population. And when you do that for  
13 any given drug. Drugs tend to have a characteristic  
14 profile in terms of rate of upstroke and downstroke  
15 of ALTs in clinical trials. For that given profile  
16 for a drug you can then estimate the variation in  
17 percent hepatocyte loss at any peak ALT.

18 **4.3#5 PW:** So what's shown here along the x axis are  
19 peak ALT ranges going from less to higher. And then  
20 the 95 percent confidence intervals for this  
21 virtual population and percent of hepatocyte loss  
22 is shown as the shaded bars. So you can see in this  
23 case someone with an ALT of 1,500 with this  
24 particular drug has a small chance of actually

1 losing enough hepatocytes to cause global liver  
2 dysfunction and a rise in serum bilirubin, which  
3 corresponds to about 70 percent loss of functioning  
4 hepatocytes. And as that peak ALT goes up again for  
5 this drug and this particular kinetic profile the  
6 percent chance goes up. And the question would come  
7 up if this is true, why would you have to wait till  
8 a patient actually satisfied Hy's Law if there was  
9 a 25 percent chance they would have anyway. Now,  
10 this is again drug-specific, profile-specific, and  
11 also assumes cells are bursting open and releasing  
12 their contents by necrosis. And we know though that  
13 this is, you know, showing part of what Rachel  
14 showed, that in necrosis we get a robust release of  
15 miR-122 and ALT, and also a full-length cytokeratin  
16 18. But in apoptosis because the cell is digesting  
17 itself you get less release of ALT and miR-122, and  
18 you get then the cytokeratin, the caspase-cleaved  
19 fragment of cytokeratin 18.

20 **4.3#6 PW:** So, what Dan, Antoine and others do is  
21 they can measure this and come up with what is called  
22 the apoptotic index. So, these aren't  
23 liver-specific and you might need to normalize for  
24 miR-122, but in a phase I clinical trial where you

1 have serial measurements you can actually estimate  
2 the percent of cell death occurring by apoptosis or  
3 necrosis.

4 **4.3#7 PW:** So it's now possible to estimate from  
5 serum sampling the percent of hepatocyte death and  
6 the chance of encountering a Hy's Law case for any  
7 given profile for an individual. But I think this  
8 is just the beginning of the transformation that's  
9 going to occur in the next few years.

10 **4.3#8 PW:** And that's based on the current  
11 thinking, and this is consistent with what Neil  
12 Kaplowitz showed, that there's an adaptive immune  
13 attack that's the final mediator of at least serious  
14 liver damage.

15 **4.3#9 PW:** But it starts at the hepatocyte. And  
16 the drug is doing something to hepatocytes. Some  
17 drugs do it, some drugs don't, we're not smart  
18 enough yet to figure out what that is.

19 **4.3#10 PW:** It leads to some sort of stress, that  
20 leads to a neo-antigen and leads to release of  
21 damage-associated molecular patterns, creating an  
22 innate immune response, the right cocktail of  
23 cytokines and chemokines to set up the liver for an  
24 adaptive immune attack if you have the right



1 predisposition, presumably HLA risk alleles.

2 **4.3#11 PW:** And so it's now possible as Rachel  
3 showed you to measure all these things.

4 **4.3#12 PW:** So, for instance, you can see whether  
5 it's apoptosis or necrosis occurring in an  
6 individual. You can see whether the DAMP that's  
7 released is oxidized or reduced, that is, is it an  
8 active or inactive DAMP. And you can see whether  
9 there's been activation of innate immunity, whether  
10 there's acetylated HMGB1.

11 **4.3#13 PW:** So, for example, and this was shown by  
12 Dan Antoine who does all these measurements, with  
13 acetaminophen we know it's the most common cause of  
14 acute liver failure, yet it does not cause delayed  
15 idiosyncratic hepatocellular injury as we've  
16 discussed in this forum before. No one's ever  
17 described that. Clear dose-dependent toxicity. So  
18 it's stressing hepatocytes. We get protein  
19 adducts that we can measure in the circulation with  
20 therapeutic dosing. And it causes prominent ALT  
21 elevations in healthy volunteers getting recurrent  
22 therapeutic dosing, yet it does not cause this  
23 idiosyncratic DILI.

24 **4.3#14 PW:** So, in the samples that we collected in

1 our healthy volunteer study, sent them to Dan  
2 Antoine. And what he found was that it was  
3 apoptosis, not necrosis. It was the oxidized HMGB1  
4 that was being released so there was not activation  
5 -- there were not active DAMPs that were released.  
6 There was no acetylated HMGB1 detectable. And that  
7 provided a plausible explanation for why the liver  
8 would not be set up for an adaptive immune attack.  
9 This is still unpublished.

10 **4.3#15 PW:** So, the absence of adaptive immune  
11 attack on the liver may be because active DAMPs are  
12 not released, and there is not activation of an  
13 innate immune response which is a prerequisite for  
14 an adaptive immune attack. And already I'm aware of  
15 three regulatory submissions or communications --  
16 and there's about to be a fourth by a group in the  
17 audience here -- who have used this in early  
18 clinical trial data to argue that their drug has  
19 less of a chance of an adaptive immune attack. So,  
20 are these going to be -- that is, they showed it was  
21 apoptosis, not necrosis. There was no activation  
22 of innate immunity as measured by acetylated HMGB1,  
23 in spite of ALT elevations.

24 **4.3#16 PW:** So, can we move this earlier so that we

1 look at these biomarkers during early ALT  
2 elevations? Could perhaps they even be useful  
3 prior to ALT elevations in a subset of population?  
4 And the only way we're going to find this out is  
5 really for the industry to begin archiving serum  
6 from clinical trials and having uniform data  
7 management tools with the right phenotypic  
8 information that are directly linked to the  
9 biospecimens. Because regulatory acceptance will  
10 require I think a lot of data, a lot of different  
11 patients, a lot of different drugs.

12 **4.3#17 PW:** So, pharmacokinetic approaches to  
13 current DILI biomarkers and incorporation of these  
14 novel biomarkers should transform the assessment of  
15 liver safety of new drug candidates. And I think  
16 while improving subject safety, it may not be  
17 necessary to do 8,000 patients per year to rule out  
18 idiosyncratic DILI when you see some ALT elevations  
19 due to your drug. Troglitazone was approved with  
20 1,000 patients getting the drug for six months.  
21 The FDA has not approved drugs that they haven't  
22 known about serious liver liabilities, but the cost  
23 has been much larger, longer clinical trials. And  
24 these biomarkers hold the promise of being able to

1 tell a good drug from a bad drug very early on,  
2 perhaps even in phase I.

3 **4.3#18 PW:** So, thanks to our own toxicity  
4 biomarker core, the DILIsym Initiative and Scott  
5 are here.

6 **4.3#19 PW:** And then the Liverpool group -- we  
7 collaborate with closely and closely with Dan  
8 Antoine, who is next going to show us that  
9 acetylated HMGB1 may not just be a marker, but  
10 actually an etiologic agent and a target for  
11 therapy.

12 Thank you.

13 (Applause.)

14

---

15 DR. SZABO: Thank you. So, as  
16 indicated the next speaker is Dan Antoine. He is  
17 a Wellcome Trust fellow and a senior staff scientist  
18 at the University of Liverpool. And we look  
19 forward to hearing about blocking HMGB1 in  
20 monoclonal antibodies and inhibitory peptides in  
21 his talk.

22 **4.4#1 DA:** DR. ANTOINE: Thank you, Dr. Szabo, for  
23 that introduction, and of course thank you to John  
24 and Lana of course as always for the invitation to

1       come over from the UK to present our data to you  
2       today. And of course I just wanted to extend a thank  
3       you to Paul, who has also noted some of the  
4       mechanistic work that we're doing underneath the  
5       layer of the biomarker projects that we're doing,  
6       and again the chance to present this data to you  
7       today.

8                You've already heard from Paul and Rachel  
9       about some of the aspects of HMGB1 as a biomarker,  
10      potentially a mechanistic driver of drug-induced  
11      liver injury, something that can provide added  
12      information to the tests that we already have to try  
13      and prove our predictive knowledge of drug-induced  
14      liver injury. So, I'm not going to spend too much  
15      time talking about that really. But over the years  
16      I really have developed a real special interest in  
17      this particular protein much to my wife's disdain.  
18      But what I'd like to do today is fill in some of the  
19      gaps actually really and the back story of how we  
20      got to this particular talk today. And Rachel's  
21      talked about the work that we've done in  
22      idiosyncratic drug-induced liver injury. Paul's  
23      mentioned the work in novel phase I studies.

24      **4.4#2 DA:** But really where we started this as with

1 most people in acetaminophen overdose. And you can  
2 see the translation of those findings in  
3 idiosyncratic drug-induced liver injury. We really  
4 developed them on the concept of patients needing  
5 a liver transplant following acetaminophen  
6 overdose. We've also showed that total HMGB1 itself  
7 when measured very, very early on can be a  
8 mechanistic biomarker of early detection of the  
9 potential to form acute liver injury as well in  
10 patients with paracetamol overdose in this pilot  
11 study that we published back in 2013 of about 130  
12 people. And we've also replicated that now in 1,200  
13 individuals in a multi-center study in the UK.  
14 But HMGB1 is not just a biomarker. We really  
15 believe it's a key mechanistic driver of the process  
16 and the response during drug-induced liver injury.

17 **4.4#3 DA:** And to define that, we had to produce an  
18 animal model. Unfortunately HMGB1 knockout is  
19 embryonic-lethal, so we designed a strategy in  
20 collaboration with the Schwab Club at Columbia in  
21 New York to produce this conditional hepatocyte-  
22 specific knockout mouse. The hypothesis was that if  
23 we could knock out HMGB1 from the hepatocyte that  
24 initial DAMP signal that kickstarts the cascade of

1 inflammation and potentiation of toxicity, we could  
2 define the mechanistic basis of those events.

3 **4.4#4 DA:** So we produced the conditional knockouts  
4 with the albumin-Cre driving system. You can see the  
5 validation data there on the bottom lefthand side  
6 with HMGB1 in the nucleus of the wild type mice, and  
7 HMGB1 absent from the hepatocytes in the knockout  
8 mouse. And it's only present in the nonparenchymal  
9 cells. The data on the righthand side shows the wild  
10 type and the knockout mouse that have been  
11 challenged with paracetamol. The wild type is  
12 shown in black; the knockout is shown in blue. You  
13 can see there's a clear decrease in ALT activity in  
14 the knockout mouse, a clear positive impact on  
15 survival in that knockout mouse and a clear decrease  
16 in the score for necrosis in the livers of these  
17 knockout mice. What I also haven't shown today, but  
18 it's part of the publication, is that in these  
19 knockout mice we prevent neutrophil infiltration  
20 into the livers of these mice. So what we believe  
21 we've done is knocked out that key molecular signal  
22 that links hepatocyte cell death to inflammation.

23 **4.4#5 DA:** This is a concept really developed by  
24 Kevin Park at the University of Liverpool. And

1 he's noted it as what we call closing the loop, where  
2 we really identify an adverse drug reaction in  
3 humans, try and understand that better, and then  
4 feed that knowledge back into designing safer  
5 medicines for human use. And what I've done here is  
6 applied that to the concept of the role of HMGB1 in  
7 drug-induced liver injury. You can see at the top  
8 of the circle there we've identified the particular  
9 candidate molecule from the literature. We've  
10 defined its performance as a potential biomarker.  
11 We've shown proof of it being a key mechanistic  
12 driver in certain contexts of drug-induced liver  
13 injury and chronic liver disease. And really where  
14 we are now in 2016 is really to try and complete that  
15 virtual cycle if you like and close the loop. The  
16 pharmacologist in me is telling me that I really  
17 want to develop a therapeutic intervention. And we  
18 know that HMGB1 can't really be considered as one  
19 molecule anymore. There're multiple molecules.  
20 So it's quite likely that we're going to have to move  
21 around this virtual cycle a number of times before  
22 we get the therapy that we actually want, and  
23 understand and advance the mechanistic basis of the  
24 science.



1       **4.4#6 DA:** So, this is the first data that we've  
2 reported on this topic and the first attempt to try  
3 and design strategies to target HMGB1 itself. I've  
4 been very fortunate to be funded by the European  
5 Commission through the FP7 program. And through  
6 that program we developed a novel chimeric  
7 monoclonal antibody specifically targeted towards  
8 HMGB1. So, we took the RNA, converted it to CDNA from  
9 mouse hybridomas that produced a specific antibody  
10 directed towards HMGB1. We cloned that onto -- those  
11 mouse variable regions onto an IgG backbone with  
12 human sequences. So we were able to produce that  
13 first antibody. And then we've shown that the  
14 binding of that chimeric antibody was highly  
15 equivalent to the murine form using SpR or the  
16 Biacore-based analysis. And as a negative control  
17 we used the E2 antibody, which is raised against the  
18 tetanus toxin. And then we looked at the actual  
19 binding domain of HMGB1 and the full protein itself.  
20 And what you can see here from the data on the bottom  
21 of the screen is the response of binding of this  
22 antibody to HMGB1 itself in a dose-dependent way,  
23 You can see that there's no binding to the box A  
24 portion of HMGB1, but in the box A domain of HMGB1

1 we see clear binding there. So we believe that's  
2 the epitope for this particular antibody of  
3 interest. So we've produced the antibody and  
4 validated its binding at the heart of HMGB1.

5 **4.4#7 DA:** So the next step was to test its efficacy  
6 in our well characterized animal model of liver  
7 injury. You can see here from the data, if you just  
8 focus your attention on figures B and C, we see that  
9 in the control animals treated with paracetamol we  
10 see a robust ALT increase. But then with the two  
11 antibodies, the murine and the human version we see  
12 a decrease in ALT activity and a decrease in  
13 miR-122. When we look at the histological scores for  
14 necrosis shown in panel D, at the lower part of that  
15 you can see a decrease in the scores for necrosis,  
16 but importantly, you can see the prevention of  
17 neutrophils not being infiltrated into the liver.  
18 And we also see a decrease in pro-inflammatory  
19 cytokine production on administration of the  
20 antibody. And this antibody is administered in a  
21 delayed fashion after acetaminophen treatment. So,  
22 of course as I mentioned I'm a pharmacologist, so  
23 dose response relationships are almost everything  
24 to me. So we wanted to look at the dose-dependent

1 relationship on the effect of this particular  
2 antibody in these mice.

3 **4.4#8 DA:** And what you can see here in panel A and  
4 B is a clear dose response protection of this  
5 particular chimeric antibody in response to  
6 paracetamol treatment backed up by miR-122 and the  
7 depletion of pro-inflammatory mediators as well.

8 **4.4#9 DA:** So of course we're already blessed with  
9 a treatment for acetaminophen overdose in the form  
10 of N-acetylcysteine which is very, very effective  
11 when given early on. So, in this experiment we  
12 compared the efficacy of our novel antibody with  
13 N-acetylcysteine. So, you can see here all these  
14 mice are treated with paracetamol or a controlled  
15 antibody and the antibody of interest. At two hours  
16 after the paracetamol treatment you see the  
17 N-acetylcysteine is completely effective. It  
18 prevents the ALT increase as we all are familiar  
19 with. But our novel antibody when given two hours  
20 after treatment only resulted in an ALT depletion  
21 of about 30 to 40 percent about two hours after  
22 treatment. When we look at six hours after treatment  
23 in these mice, we can see that N-acetylcysteine is  
24 completely ineffective. That's the second group

1 of animals on that figure on the righthand side.  
2 We can't prevent the liver toxicity associated with  
3 paracetamol with N-acetylcysteine at six hours  
4 after treatment. But our novel antibody still  
5 provides that robust effect that we saw at two  
6 hours. And we believe that it's because we're  
7 targeting that later delayed mechanism, those  
8 immune-mediated effects.

9 **4.4#10 DA:** So what we believe and a pathway forward  
10 is that you can combine N-acetylcysteine and  
11 anti-HMGB1 therapy in these mice. So, the lesson  
12 that we learned is anti-HMGB1 therapy is more  
13 effective than N-acetylcysteine when given at late  
14 time points. Of course, we wanted to understand the  
15 mechanistic basis. There's a number of potential  
16 mechanisms how this antibody can work. One is  
17 through complement activation and the other one is  
18 through Fc gamma receptor mediated effects. So,  
19 just in summary complement activation really  
20 facilitates phagocytosis, chemotaxis and cell  
21 lysis. And there's a key amino acid actually, lysine  
22 322, which is essential for Clq binding.

23 **4.4#11 DA:** So what we did is produce effector  
24 function deletion mutants where we replaced alanine

1 for that lysine 322. And we looked at its binding  
2 on normal human serum. And what you can see here,  
3 particularly if you focus your attention on panel  
4 B, you can see that the antibody of choice binds to  
5 Clq, but the mutated version, that there's no  
6 binding at all of that particular group. The  
7 second effect we wanted to look at was the role of  
8 Fc gamma effects, which control cytokine release  
9 and antibody-dependent cell site toxicity. An  
10 essential for this is actually glycosylation on  
11 asparagine 297. And what we did is we actually  
12 treated this particular antibody with EndoS which  
13 deglycosylated that particular asparagine moiety.  
14 As you can see here from the shift in the Western  
15 blot there to show the depletion, also the reduction  
16 in the molecular weight following EndoS treatment.  
17 And then we looked at the binding, so the plant  
18 lectin, LCA, and shown that that was completely  
19 prevented with EndoS treatment. We prevented  
20 binding to CD64 and also prevented binding to live  
21 THP1 cells. So we have those functional effector  
22 deletion mutants to test further forward.

23 **4.4#12 DA:** So we tested them on our standard  
24 paracetamol overdose model. What you can see from

1 this figure, particularly from panel A, is that the  
2 effector function deletion mutants were all  
3 responsive. They all worked in this particular  
4 model with respect to ALT depletion, TNF response  
5 and also some other pro-inflammatory mediators.  
6 So the lesson that we learned from these appraiser  
7 studies is that the mechanism of action of this  
8 particular antibody is likely through analyte  
9 neutralization. We know that HMGB1 is not one  
10 protein, it's multiple different proteins, and we  
11 know that the different redox isoforms have  
12 different biological effects, and they're all  
13 mutually exclusive being from cytokine production,  
14 chemotaxis, or no function at all.

15 **4.4#13 DA:** So what we really want to do is try to  
16 identify a therapy that can target those specific  
17 forms. Unfortunately, the antibody that we produced  
18 at the moment picks out all those different  
19 molecular forms equally as you can see from the data  
20 here. So we really need to be a little bit smarter  
21 about the strategies that we approach to try and  
22 identify functional specific redox isoforms of  
23 HMGB1.

24 **4.4#14 DA:** And one strategy that we've undertaken

1 to try and do this is through inhibitory peptides.  
2 So we know that the disulfide form of HMGB1, that  
3 pro-inflammatory form which is prominent in  
4 acetaminophen overdose, that works to the TLR4-MD2  
5 axis. So what we did is operated a computational  
6 approach to identify novel inhibitory peptides that  
7 really fit into that pocket, that MD2 interaction  
8 site for HMGB1, and also negative controls as well.  
9 So again we used those to challenge our  
10 acetaminophen overdose mice. And you can see  
11 there's a clear dose-dependent protection shown by  
12 ALT increase, TNF, and also survival, and also their  
13 histological scores for necrosis in these mice as  
14 well. So we now for the first time have a potential  
15 strategy which needs further work to target  
16 specific isoforms of HMGB1.

17 **4.4#15 DA:** So, just to summarize this portion of  
18 work, we've shown that HMGB1 is a promising  
19 biomarker first of all in acetaminophen overdose  
20 and now as Rachel has presented in idiosyncratic  
21 DILI. We've shown it's a mechanistic driver of  
22 drug-induced liver injury, and we've established it  
23 as a potential therapeutic target. We produced the  
24 first chimeric antibody targeted towards that and

1 shown that it's functional with respect to its  
2 dose-dependent effects. We've gone some way to  
3 identify its mechanism of action through analyte  
4 identification, and shown where it could  
5 potentially fit in its place in the clinic following  
6 acetaminophen overdose in a delayed manner to  
7 N-acetylcysteine. And we've also started a program  
8 of work through funding through the FP7 European  
9 Commission program to develop specific therapies  
10 for HMGB1-specific isoforms. And there's also  
11 ongoing work now in other forms of liver disease  
12 that we've established as HMGB1-dependent, such as  
13 alcoholic liver disease and carbon  
14 tetrachloride-induced fibrosis.

15 **4.4#16 DA:** So with that I'd like to leave it there.  
16 And, of course, thank you.

17 (Applause)

18

---

19 DR. SZABO: Thank you very much, and  
20 thanks for the speakers for staying on time. So,  
21 with this I'd like to open the panel for discussions  
22 and questions from the audience.

23 DR. WATKINS: Mark, I don't know what  
24 question you're going to ask, but the question I'm



1 going to ask you is what is the FDA's -- what  
2 comments do you want to make either from the agency  
3 or your own perspective on these new biomarkers and  
4 how they could move towards regulatory acceptance.

5 DR. AVIGAN: Well, I have the same  
6 disclaimer as everybody else in the audience, which  
7 I'm a citizen of the country, but I don't speak on  
8 behalf of the agency. I think it's very interesting,  
9 exciting work<sup>4</sup>. I think there's still a lot of  
10 questions about kinetics. There's also issues I  
11 think -- I'll play psychiatrist, I'll ask you  
12 questions in return. But one of the things that  
13 comes up is that you did show with the SAFE-T data  
14 the idea that there was some crossover in the  
15 discrimination which raises a question of sample  
16 timing. And there are two kinds of biomarker  
17 categories. And my question is one actually is a  
18 signature for the drug -- the drug will be in some  
19 individuals a problem versus not a problem, as  
20 opposed to a prognostic marker that individuals who  
21 have a certain finding have a prognosis that they  
22 will go for worsening and a serious outcome. Those  
23 are different. So my question is with the acetylated  
24 product that Rachel was talking about and you were

1 talking about, the signature for a bad outcome with  
2 the acetylated version from the DILIN data, does  
3 that preclude the idea that a negative result  
4 wouldn't necessarily mean the drug wouldn't be a  
5 problem for other patients who just don't happen to  
6 have that particular signature. Because of this  
7 crossover that you showed. So that's, you know, I  
8 just wanted to hear what you thought about that.  
9 What more data you would have over time to show, to  
10 give more confidence in the result that this is a  
11 more generalizable measure for a signature for the  
12 drug.

13 DR. CHURCH: Well, I think the more  
14 patient data we get and the more drugs that are  
15 implicated in their DILI, if we can measure the  
16 acetylated HMGB1 and see similar results. I think  
17 definitely measuring just at one time point is not  
18 going to be sufficient. We've seen that depending  
19 possibly on timing of when you measure it can give  
20 you different results. I think it will definitely  
21 have to be measured at least maybe once, and then  
22 once again a week or so later. And I think like I  
23 said, the more drugs that are implicated that we see  
24 these results for we can either move forward with

1 it showing good sensitivity, or we can eliminate it.

2 DR. WATKINS: Just the nature of the  
3 DILIN network is these cases are found out in the  
4 community, referred to us. And of the 1,500 cases  
5 we have, only a few hundred were within the first  
6 two weeks. So the delay is a big issue for us. But  
7 Rachel did look, for instance, at all the INH cases.  
8 And within that group, acetylated HMGB1 was  
9 predicted for chronic and transplant liver failure.  
10 But the majority of them had low levels of  
11 acetylated HMGB1. So that might argue against this  
12 being a marker for a drug rather than the  
13 individual. On the other hand, I think the only way  
14 we'll find this out is prospective collection which  
15 DILIN doesn't do.

16 DR. AVIGAN: The reason why I'm  
17 pressing on this point is with the acetaminophen,  
18 Dan's data, clearly shows in that cohort of  
19 individuals in the emergency room who had  
20 acetylated product were actually bad actors. Yet in  
21 the human data that you showed of adaptation in the  
22 dose range that was the recommended dose range you  
23 didn't get the acetylated version. So that looks  
24 more like not a drug signature, but a signature of

1 prognosis based upon dose effects.

2 DR. WATKINS: It may be the sought after  
3 marker for adaptation. Right?

4 DR. AVIGAN: Right.

5 DR. WATKINS: At least for an  
6 individual, not for the drug. We don't know that.

7 DR. AVIGAN: Right. So anyway, but the  
8 answer to your larger question of is this something  
9 that the agency is interested, we're very  
10 interested in markers, both on the prognosis end for  
11 sure, but also as development occurs with regards  
12 to drug signatures for questions around.

13 DR. WATKINS: One other quick question.  
14 What would be the agency's position if a company  
15 came in and said we see all these transaminases, but  
16 we just think it's transaminitis because it's  
17 apoptosis, there's no acetylation of HMGB1, no  
18 release of the --

19 DR. AVIGAN: And I don't want to give  
20 you a definitive answer, except one of the issues  
21 I would be concerned about is the sampling kinetics,  
22 the timing of sampling. And what Rachel showed was  
23 that actually it's very critically important to  
24 know where you are from the time of dosing to when

1 the effect occurs to the later outcome. And that's  
2 a very important issue that will have to be thought  
3 of very carefully.

4 DR. WATKINS: And the kinetics are  
5 actually being put in the DILIsym model, the release  
6 and clearance, kinetics, all this. The only issue  
7 to make is that it may be awhile before regulatory  
8 acceptance, but certainly in terms of internal  
9 decision-making and in one case external funding by  
10 venture capitalists the data was key.

11 DR. SZABO: Okay, so let's go to the  
12 next question.

13 PARTICIPANT: Thank you. I think  
14 they were exciting presentations. I share a  
15 similar comment with Mark, basically. I also say the  
16 timing issue versus biosensitivity for the  
17 biomarker. And I see the DILIN result come out, the  
18 average time is eight days after the onset as a  
19 safety concern, basically much earlier. So I'm just  
20 a little concerned if we get the biomarker after the  
21 eight days, you know, some patients may be in some  
22 disaster, you know. I think it's a little late. If  
23 you can put it earlier, I'm not sure. Since from the  
24 same SAFE-T data if you put it earlier, on the first

1 day your specificity starts to go down, you know.  
2 Basically your data. So I think this may be a very  
3 important issue. Basically you face a very time  
4 sensitivity. That means your threshold will be  
5 very difficult to cutoff. And this is my comment.

6 Another question basically is from your  
7 sample, your DILIN sample, you get a 147 sample. Is  
8 this the drug all caused by acetaminophen or by  
9 diverse drugs? Because the different drug may be  
10 caused by different, you know, the DILI.

11 DR. CHURCH: In the DILIN data set, none  
12 of the patients had acetaminophen overdose. They  
13 were all multiple drugs.

14 PARTICIPANT: So, multiple drugs.

15 DR. CHURCH: Yes, there was many  
16 different ones. But none of them were  
17 acetaminophen. There were acetaminophen data in the  
18 SAFE-T patient data set, but not in the DILIN.

19 PARTICIPANT: Okay. This drug, for  
20 example, onset is pretty low and the high, there's  
21 some difference there, or just randomly selected  
22 drug? I know the DILIN had close to 1,000 samples,  
23 right? So how you select -- the criteria for how  
24 you select this 100 sample?

1 DR. CHURCH: So, we wanted to use the  
2 ones that had been collected within two weeks of  
3 DILI onset. So that's why the N was --

4 DR. WATKINS: You can enter the DILIN  
5 network up to six months and your liver chemistries  
6 can be normal. It was a minority of samples that were  
7 collected within two weeks of the onset of  
8 drug-induced liver injury. That's why there's a  
9 smaller number of 150.

10 PARTICIPANT: Okay, thank you.

11 DR. SZABO: Thank you. Let's move onto  
12 the next question.

13 PARTICIPANT: Yes, so two questions,  
14 one for Rachel and one for Dan. Rachel, you just kind  
15 of answered very quickly there was a bunch of  
16 different drugs. I'm going to press that. How  
17 many different drugs amongst the 147 samples?

18 DR. SZABO: Can you please speak closer  
19 to the microphone?

20 PARTICIPANT: Sorry. How many --  
21 among the 147, and especially among the 16, and then  
22 the other cohort. I forget. There was like 20.  
23 How many different drugs were there? It wasn't  
24 like dominated by one or two drugs, was it? Were

1       there 16 different drugs here, 20 different drugs  
2       there?

3               DR. CHURCH:   No, I would say both groups  
4       had multiple different drugs.   Neither of them was  
5       dominated by any one.  In both groups, there was also  
6       different forms -- cholestatic as well as  
7       hepatocellular represented in all three of the  
8       groups as well.  So I would say there were at least  
9       10 different drugs.

10              PARTICIPANT:    Okay, 10 different  
11       drugs.  Okay.

12              DR. CHURCH:    It could be more.  Off the  
13       top of my head, I'm not sure.  But it certainly  
14       wasn't overwhelmingly one drug.

15              PARTICIPANT:    And presumably you  
16       looked and made certain there wasn't some other  
17       bias, like age, or I don't know, some other bias that  
18       could be responsible for the segregation.  There  
19       was no age bias.

20              DR. CHURCH:    Yes, not that I could  
21       determine, no.

22              PARTICIPANT:    Okay.  So now I'm --  
23       this is really nice data.  This is what we've been  
24       looking for for a long time.  This is really



1 exciting. I agree with you, Paul, this could be a  
2 breaking point here. But I'm trying to put it  
3 together with Dan's data with acetaminophen. And  
4 the model I'm kind of coming up with is that all  
5 drugs that induce this acute liver injury in any  
6 patient are going to look the same with acetylated  
7 HMGB1 initially. And Dan's data says if you can get  
8 in there and block that conversation real fast, you  
9 can limit damage. It'll be interesting to see if you  
10 can do that with every drug, or just acetaminophen.  
11 So that's going to be really important to do. But  
12 it sounds like that discussion is taking place that  
13 HMGB1 is participating with immune cells, and  
14 trying to get everybody to join the conversation in  
15 that initial stage. Some drugs will keep that  
16 conversation going on and on and on and on. Other  
17 ones tend to like shout it out, and then be done,  
18 and then leave the podium. They just walk away,  
19 right? And then there are some drugs that want to  
20 do that, and in some patients they're allowed to  
21 keep that conversation going for a long time, but  
22 other patients say get out of here. And so, Dan, your  
23 work is wonderful. You're trying to get at some of  
24 the fundamental underpinnings for understanding

1 that. But this biomarker seems to be able to tell  
2 us that. But what we really need -- this is the  
3 question I want to ask Dan, because progressing with  
4 the science in people is going to be tough. Then you  
5 get guys like Jack Uetrecht with his models of  
6 trying to modify the immune system. Maybe that'll  
7 keep the conversation going longer, and you can look  
8 and test this hypothesis, whether that HMGB1 signal  
9 can persist over time. So Dan, in animal models, any  
10 other animal models with any other drugs with repeat  
11 administration -- even with acetaminophen with  
12 repeat administration -- what happens to that HMGB1  
13 signal? Does it go up and come down, or does it  
14 climb? What happens over longer periods of time,  
15 besides that two-hour, six-hour time point? Can  
16 you tell us a little bit about that?

17 DR. ANTOINE: Yes. So, I mean, in  
18 terms of the time, of course, we got obviously  
19 really detailed on the standing of the events  
20 following acetaminophen overdose --

21 PARTICIPANT: You've got to get closer  
22 to the mic. I did too.

23 DR. ANTOINE: We've got a real good  
24 understanding of the time course of events in

1 acetaminophen overdose. And essentially what  
2 happens is that you get a peak very early on of the  
3 necrotic form followed by a second peak of the  
4 acetylated form. And those both actually resolve  
5 within 24 hours in that particular model. That's  
6 if you like the only drug model we've looked at.  
7 But we've looked at chronic models of fibrosis,  
8 alcoholic liver disease, and where you see a  
9 persistent elevation, particularly of these  
10 inflammatory forms of HMGB1 to a much lower degree  
11 as you do quantitatively, as you see with  
12 acetaminophen overdose. But it is there bubbling  
13 around in the background and participates in those  
14 chronic inflammatory events. But I think it's also  
15 important to note out the -- just to understand what  
16 these biomarkers are actually telling us as well.  
17 So, the acetylated HMGB1 indicates the active  
18 release of this protein from alive cells  
19 participating in this conversation as you've  
20 suggested. And I think that's only half of the story  
21 as well. I think what we also need to do is go back  
22 and revisit the data, and look at the redox forms  
23 of HMGB1 in these same individuals, because that is  
24 really what's going to give us the mechanistic

1 driving information about whether this molecule  
2 will participate or not in those conversations.

3 DR. SENIOR: Dan, what's the  
4 significance of the acetylation process? What's  
5 that telling us?

6 DR. ANTOINE: So, that's telling us  
7 that there's been an activation of an immune  
8 response. There's been acetylation of HMGB1, so  
9 therefore it can't translocate to its normal  
10 nuclear position if you like within the cell and  
11 participate in transcription translation. It's  
12 packaged up and secreted as an active inflammatory  
13 mediator. So that's really the significance of  
14 that mechanistic understanding. And that's really  
15 dependent on histone acetyl transferases.

16 DR. SZABO: And following up on the  
17 question, so is there any way to know if this is  
18 coming from hepatocytes or macrophages? Because  
19 it's a little bit confusing that there's a lot of  
20 referral to acetyl but then HMGB1 being a marker of  
21 inflammation, which can you clarify that? That's  
22 a little confusing.

23 DR. ANTOINE: Yes. So, no, you're  
24 completely right. So this is a non-hepatocyte

1 specific biomarker. We believe it's a mechanism-  
2 specific biomarker. So we always pair this with a  
3 miR-122. And we've started to make some inroad into  
4 understanding where it's come from using different  
5 conditional knockout mice. So we have a  
6 macrophage-specific knockout mouse and a  
7 hepatocyte-specific mouse. So we believe that the  
8 initial signal is from the hepatocyte, but the  
9 acetylated form in acetaminophen overdose is  
10 specifically derived from the macrophage or the  
11 Kupffer cell. But in chronic liver disease actually  
12 the hepatocyte itself has the potential to  
13 acetylate HMGB1 and secrete that as an active  
14 messenger. And we've shown that and published on  
15 that in alcoholic liver disease.

16 DR. SENIOR: Dan, is that association  
17 between the acetylated form and the RNA, miR-122,  
18 is there some message in the RNA that's causing the  
19 acetylation?

20 DR. ANTOINE: We don't think so at the  
21 moment. I mean, the interesting concept actually  
22 is if you actually knock out HMGB1 from a cell, that  
23 cell itself acts more like the cell it's supposed  
24 to be. You actually free up the transcription

1 factors to interact with DNA. So if you knock out  
2 HMGB1 from a macrophage, those macrophage phagocyte  
3 has a lot more than they do when they actually do  
4 have HMGB1.

5 DR. SZABO: Thank you. Dr. Bonkovsky?

6 DR. BONKOVSKY: Dan, congratulations.  
7 Beautiful work. You're not really thinking that  
8 this is a marker-specific or selective even only for  
9 drug-induced or toxin-induced injury. Tell us about  
10 viral hepatitis, autoimmune hepatitis. There are  
11 lots of other liver diseases. What can you tell us  
12 about that? That's the first question.

13 The second one is: tell us a little bit about  
14 the assay. I mean, right now you're the only one  
15 in the world that really can do this, is that right?  
16 And how much blood do you need? What's the  
17 turnaround time? If this were ever to be sort of  
18 useful as a marker for, okay, these are people who  
19 are going to be in trouble and we need to do a  
20 treatment trial we would need a much more rapid  
21 turnaround time than sending 20mls of blood to  
22 Liverpool and hoping for a result after a month or  
23 two.

24 DR. ANTOINE: That's a great question,

1 Herb, I really appreciate it. So, the first part of  
2 your question is looking at the role of HMGB1 in  
3 different liver diseases. So, we believe that it's  
4 not specific for drug-induced liver disease at the  
5 moment. The data is telling us that these  
6 biomarkers really reflect conserved mechanisms of  
7 pathophysiology within the liver. So we've looked  
8 at acetylated HMGB1 in alcoholic liver disease,  
9 carbon tet fibrosis. We've also looked at HMGB1 in  
10 patients with hepatitis C infection. And the  
11 interesting thing about those patients -- we  
12 recently just published that in Gut -- is that  
13 patients that -- when they have their first liver  
14 biopsy we can see they all have pro-inflammatory  
15 forms of HMGB1. But on treatment, those that respond  
16 to treatment and don't progress in terms of  
17 fibrosis, we look at the immune histochemistry  
18 staining in HMGB1 for those individuals. The  
19 progressors have a higher increase of HMGB1  
20 expression in the liver. They have a stronger  
21 translocation from the nucleus to the cytosol, and  
22 they also have acetylated HMGB1 in blood. The guys  
23 that respond to treatment, the expression of HMGB1  
24 in the situs, all goes down. They have the oxidized

1 form of HMGB1 in blood. So it looks really quite  
2 interesting as a prognostic biomarker for those  
3 scenarios. I think the thing that's really pairing  
4 it apart from normal liver disease and DILI is the  
5 time series of events, something that's been  
6 commented on previously and something that John's  
7 really pushed forward is the concept of time with  
8 these biomarkers. And really with drug-induced  
9 events, what we're seeing is the necrosis form  
10 really followed by the inflammatory form. But in a  
11 lot of these inflammatory-based hepatocyte  
12 diseases, if you like, we see the inflammatory form  
13 concomitant with the necrotic form as well. So I  
14 think time is a key element for that. With respect  
15 to your question on the assay itself, unfortunately  
16 we're the only lab in the world at the moment that  
17 is running these assays. That doesn't mean that  
18 we're not the only lab that can run these assays.  
19 It's not by far and away any sophisticated mass  
20 spectrometry at all. And there is scope to improve  
21 that assay. So we're really quite actively  
22 discussing with other people to try and transfer  
23 this assay out of our hands and to work with other  
24 people. What we have is an assay that we've



1 developed and validated quite rigorously through  
2 the safety bioanalytical validation guidelines.  
3 But there is scope to improve that and improve the  
4 turnaround time. At the moment, we do need about 50  
5 microliters to sample at the moment.

6 DR. MATTES: Bill Mattes, FDA NCTR.  
7 And I'm kind of embarrassed after such great talks  
8 and Frank's insights to come up with something far  
9 more pedestrian. What I'm hearing in all of the  
10 talks is the importance of biomarker kinetics. And  
11 it really comes out actually as John came up with  
12 the time course for any individual patient. So that  
13 makes me ask a very simple question. As we drive  
14 to biomarkers that will deal with drug-induced  
15 liver injury, are we actually thinking about ending  
16 up with something like the insulin monitors -- a  
17 patient, a person does a finger stick every day, and  
18 we sort of track things as they go? And I ask kind  
19 of anyone who would be interested.

20 DR. WATKINS: Well, I think the first  
21 part of the question, you know, the kinetics of  
22 these things, the release and clearance has been  
23 ignored in ALT, AST, alk phos. Not such an issue  
24 in chronic liver disease, but when you've got an

1 acute and then resolving disease, it becomes very  
2 critical. So that's why it was actually Frank  
3 Sistare very early in the DILIsym Initiative that  
4 said biomarkers, translational biomarkers should  
5 be a major focus, and it has been. Now, in the  
6 individual patient, whether you'd want to be able  
7 to retrospectively look at the kinetics in say blood  
8 drops or something from finger pricks, something  
9 that I haven't -- don't know what's practical or  
10 thought about. But obviously the more data points  
11 you have, the better.

12 DR. MATTES: Well, I guess what I'm  
13 thinking is, if you think down the road that you get  
14 a combination, or one or two biomarkers, that will  
15 give you the indication that you either are going  
16 to adapt or need help. And if you are going to apply  
17 this on a more generalized basis, then if kinetics  
18 is an issue --

19 DR. WATKINS: Oh, I see. Yes, okay.  
20 So once you have an injury you're talking about,  
21 right?

22 DR. MATTES: Yes.

23 DR. WATKINS: Well, you know,  
24 clinically the treatment for drug-induced liver

1 injury is you stop the drug. That's why there's  
2 only a handful of hepatologists who do it. You  
3 can't charge for it clinically. It's a minority of  
4 patients. The 1 in 10, 1 in 5 that go onto chronic.  
5 And so you can wait in that sense. I would say  
6 clinically, in terms of clinical management, you  
7 can wait two weeks to see if they're getting better  
8 or not, and then do an acetylated HMGB1 test if that  
9 all marks out. I don't know that you would need daily  
10 kinetics for a therapeutic intervention, for  
11 instance.

12 DR. MATTES: Some of this has to do with  
13 really, is it something where you just need an  
14 occasional blood draw, or is it something you need  
15 more frequent?

16 DR. WATKINS: Well, certainly for the  
17 science of it, the more blood draws we can have, the  
18 better. That's why phase I makes a lot of sense in  
19 that period. But out in phase III and things, I just  
20 don't know what the practicality of that would be.  
21 But again, the more data you have, the better.

22 DR. MATTES: But perhaps I'm thinking  
23 of the curves Rachel showed, where you're seeing --

24 DR. WATKINS: Oh, yes.

1 DR. MATTES: You don't know where you  
2 are. You don't know where you are in that.

3 DR. WATKINS: Sure. If you want the  
4 earliest therapeutic intervention, daily  
5 measurements. If this hypothesis turns out to be  
6 true of a short half life of acetylated HMGB1, that  
7 would make sense.

8 DR. SZABO: Great. So, in the interest  
9 of time let's move on. There are three more  
10 questions. Short questions, short answers, if  
11 possible.

12 DR. MEHTA: Sure. Ruby Mehta, FDA.  
13 Excellent presentation. My question is related to  
14 the assay. Are there any differences if you were to  
15 run the assay on fresh blood sample versus on the  
16 stored and thawed samples in the assessments? Are  
17 they similar? Are they different?

18 DR. ANTOINE: Short answer is -- it's  
19 the same. We've been through quite a robust  
20 bioanalytical validation protocol even before we  
21 were able to get hold of these samples through --  
22 via SAFE-T. So we know that quite well, and it's  
23 the same.

24 DR. MEHTA: Thank you.

1 DR. AVIGAN: Dan, I had a question about  
2 the stoichiometry. So, these antibodies that block.  
3 These are putative neutralizing antibodies. So,  
4 when you count molecules that you inject and then  
5 they're circulating over a period of time, and  
6 you're trying kind of to neutralize HMGB1 molecules  
7 -- which are presumably cytokines that are secreted  
8 -- what actually is the stoichiometric  
9 consideration? I mean, how many molecules are you  
10 actually binding to? And then the time effect of  
11 these circulating antibodies over a long period of  
12 time. So that's the question.

13 Then the second part of the question is:  
14 what's the down side? In other words, let's say you  
15 block necrosis effectively. Is there a down side  
16 in terms of delaying some regenerative process in  
17 the liver that actually might, in the long run, be  
18 to the benefit of the patient? Let's say in a viral  
19 infection situation or something like that.

20 DR. ANTOINE: So, the first part of your  
21 question, we're still working that out. We don't  
22 completely know the answer. But for the second part  
23 of your question, that's an important point as well.  
24 Because we know that these antibodies in

1       circulation have a long half-life. And there is some  
2       evidence now in the literature that HMGB1 can  
3       participate in -- intracellular HMGB1 can  
4       participate in regeneration as well. We believe  
5       that that's isoform-specific as well. So that's  
6       why we're really going down the route of trying to  
7       produce these specific isoform-dependent  
8       therapies. Something that's actually quite  
9       promising is we've developed sort of aPhamas of 6  
10      kildon-like antibody molecules which have a lot  
11      shorter half life and you can actually target those  
12      for specific isoforms.

13                 DR. REGEV:    So, actually two short  
14      questions, one to Dan and another to John. So, Dan,  
15      first of all, congratulations. This is very  
16      impressive. And happy birthday, again.

17                 DR. ANTOINE:  Thank you.

18                 DR. REGEV:    On the potential treatment,  
19      everything goes well in the future and we have this  
20      potential treatment for acute drug-induced liver  
21      injury, where do you see the timing-wise as far as  
22      when do you use that as treatment to stop the process  
23      into acute liver failure?

24                 DR. ANTOINE:  So, again, I think my

1 ultimate ambition really is to develop a  
2 biomarker-based therapy package if you like, and to  
3 use HMGB1 itself to guide the actual therapeutic  
4 intervention. So intimate knowledge of that time  
5 course of the right biomarker at the right time, the  
6 right HMGB1 isoform to target with the right  
7 therapy. That's really how I envisage that, rather  
8 than as a blanket treatment in post-injury events.  
9 But to use the biomarker to guide the right therapy.

10 DR. SENIOR: The hard problem is  
11 picking the right one at the right time. The  
12 sequence of the biomarker information is going to  
13 vary with the situation, and it's difficult to  
14 create an overall plan.

15 DR. ANTOINE: No, I agree.

16 DR. REGEV: So a question for John.  
17 I've been asked this many times, and you're the  
18 closest person to this statement. So, when the Hy's  
19 Law states the 10 percent. So people with  
20 hepatocellular injury and jaundice, will they have  
21 10 percent mortality if they continue on the drug?  
22 Or it is even if they discontinue the drug, they  
23 still have this 10 percent mortality? What was the  
24 exact statement?

1 DR. SENIOR: It's not a fixed number,  
2 that 10 percent. It's an approximation,  
3 developed on a really small sample. I don't think  
4 we can answer that question at all. It's not a  
5 fixed number. All we do know is that a moderate  
6 injury is more common than a very severe,  
7 life-threatening injury. But the exact number is  
8 difficult.

9 DR. REGEV: But you mentioned if it was  
10 on the drug or off the drug.

11 DR. SENIOR: Well, this is what we're  
12 trying to find out. This is what it's all about.  
13 And that's what we need. We need the serial data,  
14 as Paul said, in order to find out.

15 DR. SZABO: Okay. Last question.

16 PARTICIPANT: Okay, last question.  
17 So, after I left, John, you were saying something  
18 about the source of the HMGB1. And Dan, you were  
19 making it clear that it isn't hepatocyte-specific.  
20 But in the experiment you did with the conditional  
21 knockout, where you saw the dramatic effects, that  
22 was hepatocyte-derived, right? There's still  
23 HMGB1 expressed in Kupffer cells and every other  
24 cell, right? So, at least in that particular case,



1 that argues that the HMGB1 is coming out of the  
2 injured hepatocyte and getting things going. And  
3 when you block that, you block that progression.  
4 Correct?

5 DR. ANTOINE: That's completely  
6 correct. That's the conclusion of that study is  
7 that the hepatocyte HMGB1 is the molecule that kicks  
8 it all off.

9 PARTICIPANT: Okay, so then I got that  
10 right. So then I'm going to ask you: when you do  
11 a conditional knockout and you knock out HMGB1, have  
12 you followed those mice out for a long period of time  
13 to kind of see what happens to the immune  
14 architecture of the liver? Does it change because  
15 you're not getting like little background blips of  
16 HMGB1 over a period of time? Do Kupffer cells go  
17 away? Some of these other cells we talk about, do  
18 they abandon the liver?

19 DR. ANTOINE: The quick answer to that  
20 question is the longest we've followed these mice  
21 is for about 20 weeks, 16 weeks for subchronic if  
22 you like carbon tet experiments. There's no change  
23 in those animals compared to wild type at those time  
24 points, but it is an interesting concept that you've

1 mentioned. And we're really trying to do inducible  
2 conditional knockout mice at the moment.

3 DR. SZABO: Thank you very much.  
4 Congratulations on a great session. And we have 15  
5 minutes. We can now reconvene at 2:30 sharp.  
6 Thank you.

7 (Applause.)

8 (Whereupon, the above-entitled matter went off the  
9 record at 2:15 p.m. and resumed at 2:31 p.m.)

10

---

11 DR. WATKINS: Okay. It looks like our  
12 numbers are dwindling a little bit here, but to stay  
13 on time, because I know everybody has to get out of  
14 here for planes, our next speaker is Kathleen Gura,  
15 who is clinical research program manager at Boston  
16 Children's Hospital -- we've not had pedes yet -- is  
17 going to tell us about pediatrics and liver disease  
18 among infants receiving parenteral nutrition.  
19 Thank you.

20 **4.5#1 KG:** DR. GURA: Thank you very much for  
21 having me. This has been a great meeting, and I've  
22 learned a lot. I hope to educate you all on a  
23 disease you probably never heard of, parenteral  
24 nutrition associated liver disease.

1       **4.5#2 KG:** These are my disclosures.

2       **4.5#3 KG:** Parenteral nutrition associated liver  
3 disease, PNALD, for short -- this little girl's a  
4 classic example of a patient we used to constantly  
5 treat. She is not typically bronzed. She's  
6 actually quite pale. She had a direct bilirubin  
7 level of over 20 when we met her. Luckily, she's  
8 still with us, but there's been a long history.

9       **4.5#4 KG:** Parenteral nutrition, for those of you  
10 not aware, it's a form of intravenous nutrition that  
11 we give to patients who have inadequate intestinal  
12 length or intestinal failure. It's a very complex  
13 solution. It's comprised of dextrose, amino  
14 acids, vitamins, trace elements. We give lipid  
15 emulsions with it as a source of essential fatty  
16 acids and to supplement non-protein calories, so we  
17 can decrease carbohydrate load. It's a  
18 life-saving therapy. Before 1968, children with  
19 intestinal atresias often died. But it does have  
20 known complications.

21       **4.5#5 KG:** What happens if you develop PN liver  
22 injury? We define it as cholestasis, as a direct  
23 bilirubin greater than two milligrams per  
24 deciliter. It's a progressive disease, and

1 continuous, as long as the child remains on  
2 parenteral nutrition. What we do know is if we stop  
3 the PN, it does reverse when you get into full  
4 enteral feedings. We also know that if you  
5 decrease the lipid dose or eliminate it entirely for  
6 a short period of time, children get better.  
7 However, you've got to be careful because if you  
8 develop essential fatty acid deficiency, that also  
9 can cause steatosis and de novo lipogenesis. So  
10 again, the lack of fat is just as bad as too much  
11 fat. Patients with cholestasis can go on to  
12 develop fibrosis, which can progress to cirrhosis  
13 and to liver failure. A lot of these children used  
14 to go on to liver transplant or die waiting for a  
15 new liver.

16 **4.5#6 KG:** The risk factors are multifactorial.  
17 Preemies are at greatest risk because of their  
18 immature hepatic function, low birth weight  
19 infants. Septic children, every septic event puts  
20 another hit on their liver. It's a classic case of  
21 the two-hit theory of steatohepatitis. The longer  
22 you're on PN, the greater the risk. Intestinal  
23 failure, short gut syndrome.

24 Because of bacterial overgrowth,

1 bacterial translocation increases the risk of  
2 sepsis. There might be a component of the  
3 parenteral nutrition itself, either a deficiency or  
4 a toxicity that could cause this condition. These  
5 children are prone to multiple OR visits, and every  
6 OR visit increases the likelihood of developing  
7 PNALD, and also the lack of enteral nutrition  
8 because of the villous atrophy, which leads to  
9 bacterial translocation, sepsis, etc.

10 **4.5#7 KG:** So it's a deadly condition. It's not at  
11 all uncommon, especially in the pediatric world.  
12 Forty to sixty percent of all children with short  
13 bowel syndrome go on to develop cholestasis. Dan  
14 Teitelbaum's group in Michigan, back in 2000 or so,  
15 reported that it was 78 percent fatal if your direct  
16 bilirubin remained above 3 mg/dL for three months.  
17 More recently, Paul Wales' group in Toronto,  
18 SickKids, said that PNALD was 90 percent fatal if  
19 the cholestasis continued to progress and the child  
20 remained on PN for a year. Until 2006, it was  
21 responsible for 1.4 percent of all deaths of  
22 children less than four years of age, and it was the  
23 leading cause of liver transplant in that  
24 population. But we do know that the cholestasis does

1 resolve if you stop the PN and you're able to give  
2 full enteral nutrition. For us, in pediatrics,  
3 it's a race against the clock to achieve full  
4 enteral nutrition, stop that PN, and the child will  
5 recover. But oftentimes, they may not have  
6 adequate bowel length to do so. The question for  
7 the group is, is PNALD a form of DILI? Being the  
8 pediatric person, we do what we always do. We take  
9 what the adults do, we try it and see if it works,  
10 and if it doesn't, we'll make it better.

11 **4.5#8 KG:** What we did was we took the 2014 adult  
12 DILI criteria and we saw if we could apply it to the  
13 pediatric population.

14 **4.5#9 KG:** We had a pre-existing database of  
15 children that we treated at Boston Children's with  
16 PNALD. The reason we selected that cohort was  
17 because we thought the PNALD is associated with the  
18 use of phytosterol-rich soybean oil lipid emulsions  
19 that is very commonly used in parenteral nutrition.

20 **4.5#10 KG:** So the method we used, we actually  
21 applied the criteria at baseline. Baseline to us  
22 was when we stopped the use of the soybean oil,  
23 phytosterol-rich lipid emulsion, and then began our  
24 treatment for PNALD, which was a fish oil-based

1 therapy. We applied the adult criteria, as you see  
2 listed there, but because we already had concerns  
3 about alkaline phosphatase levels, we decided to  
4 try another marker GGT, in lieu of alk phos, to see  
5 how that behaved.

6 **4.5#11 KG:** The results. We had a total of 214  
7 children that we had treated for PNALD at Boston  
8 Children's. Of those, we had to exclude 46 because  
9 they were over a year of age, or they transferred  
10 in to us already on the fish oil emulsions. We were  
11 able to analyze 168 children.

12 **4.5#12 KG:** When we looked at each component of the  
13 DILI criteria, we found that most of the children  
14 didn't really conform. In fact, one of the worst  
15 behaving ones was alkaline phosphatase. Only 11  
16 percent of the children actually met that  
17 criterion. In fact, the best criterion was GGT,  
18 which is not currently part of the adult DILI  
19 criteria, and that was 62 percent.

20 **4.5#13 KG:** When we looked at the proportion of  
21 patients that met the criteria for DILI, when we  
22 compared the classic components of ALT, total bili  
23 and alk phos, it was much lower. It was only 39  
24 percent, compared to -- substituting alk phos for

1 GGT and combining ALT total bili and GGT. That was  
2 69 percent. That suggests that kids obviously  
3 behave a little differently.

4 **4.5#14 KG:** However, we know the study's terribly  
5 flawed. We knew that going into this. It's a  
6 retrospective analysis of prospectively collected  
7 data, the very heterogeneous population. PNALD is  
8 not an acute liver disease. The onset's gradual,  
9 can occur over a period of weeks to months. It  
10 doesn't happen overnight. Even though we assume  
11 that it's due to the phytosterol component of the  
12 soybean oil lipid emulsions, we don't have serum  
13 phytosterol levels to compare to see response, so  
14 we use a surrogate biomarker. We use direct  
15 bilirubin to identify patients with PNALD, and  
16 those are the children we treated with our therapy.  
17 Of course, not all patients with PNALD will have an  
18 elevated direct bilirubin level, so of course, we  
19 could be accused of selection bias.

20 **4.5#15 KG:** Again, let's remind everybody about the  
21 limitations of using adult DILI criteria in  
22 children.

23 **4.5#16 KG:** There are many concerns. We don't even  
24 have pediatric DILI criteria at present, and we also



1 know that elevations in hepatic enzymes in children  
2 may not be due to the liver itself.

3 **4.5#17 KG:** It may arise from another tissue  
4 besides the liver. Let's look at alk phosphatase.  
5 It doesn't just come from the liver. It comes from  
6 bone, as well as the kidney, placenta, and small  
7 intestine. The problem in clinical practice is most  
8 centers, because of cost, only look at total of  
9 alkaline phosphatase levels. They don't  
10 fractionate. It's too expensive.

11 **4.5#18 KG:** When we see alkaline phosphatase, the  
12 child has a bump in it, oftentimes it's not because  
13 of the liver. It could be due to growth, or it could  
14 be due to metabolic bone disease because of handling  
15 fractures, so it's a different cause. However, if  
16 you have cholestasis, you will see a rise in your  
17 alkaline phosphatase levels also. There's also  
18 gender, as well as age variations, and as I  
19 mentioned before, most people don't look at the  
20 fractionated alk phos.

21 **4.5#19 KG:** At our institution, as part of our study  
22 in PNALD, we did look at 15 patients at baseline and  
23 fractionated their alk phos just because we weren't  
24 comfortable responding to those alkaline

1 phosphatase levels and assuming they all came from  
2 liver. When we fractionated them, we found that 80  
3 percent came from bone, and only 20 percent came  
4 from liver. So again, makes the use of alk  
5 phosphatase suspect when you're trying to decide if  
6 a child has DILI.

7 **4.5#20 KG:** When you talk about bilirubin,  
8 bilirubin's just not one lab. It's actually three  
9 different markers. It could be indirect, direct,  
10 or delta bili. Some centers like to report it as  
11 total bili. But when we talk about bilirubin  
12 levels in PNALD, we talk about the conjugated  
13 bilirubin.

14 **4.5#21 KG:** The direct bili being above 2 mg/dL,  
15 that came to consensus at the 2012 FDA GREAT  
16 Workshop. However, we also know that patients who  
17 have fibrosis and cirrhosis can have normal  
18 bilirubin levels.

19 **4.5#22 KG:** So again, even this lab is not perfect.  
20 This slide just shows you we had a group of 40  
21 children who had biopsies that showed that the  
22 children had cirrhosis. It's not typical that we  
23 do biopsies in this population, but when we have  
24 them, we like to look into them further. We noticed

1 that these children had cirrhosis on biopsy, at the  
2 time of baseline, they all exhibited elevated  
3 bilirubin levels. However, over time, we were able  
4 to get the bilirubin levels down to normal and  
5 remain normal. The group on the left is all  
6 converse patients on parenteral nutrition, as well  
7 as the ones who subsequently came off. The group  
8 on the right were all the children who could  
9 continue to receive parenteral nutrition with fish  
10 oil ad infinitum. This is going on now over five  
11 years. Again, we didn't stop the PN, and they still  
12 remained with normal bilirubin levels.

13 **4.5#23 KG:** What about ALT and AST? Again, not all  
14 of it comes from liver. It also can come from the  
15 heart, skeletal muscle, kidney, brain, and  
16 pancreas, and the levels don't follow normal, nice  
17 bell-shaped distributions.

18 **4.5#24 KG:** We also know that the absolute levels  
19 don't correlate properly with disease severity or  
20 the extent of hepatocellular damage. You can't use  
21 it for prognostic information. If a child has a  
22 burnt out liver, they're going to have very low  
23 levels. That's only because there's very little  
24 viable tissue left to actually excrete the enzymes.

1 You could have a child with a normal ALT and AST,  
2 and the only way you know they have liver  
3 dysfunction is because they have a prolonged PT, and  
4 they have decreased synthetic function, as  
5 exhibited by a low albumin.

6 **4.5#25 KG:** So it's very common to see mild  
7 elevations, especially in PNALD, as well as NAFLD.  
8 The numbers can lie, so we always look at the whole  
9 patient. We don't just respond to numbers because  
10 we know that elevated ALTs and ASTs can happen  
11 without liver disease, celiac disease, thyroid  
12 dysfunction, adrenal insufficiency, very commonly  
13 seen in the premature infants. We also know that  
14 liver disease without elevated enzymes can occur,  
15 such as hemochromatosis or chronic hep C, so again,  
16 can't just look at the numbers.

17 **4.5#26 KG:** We looked at ALT in our PN population  
18 and children who actually had normalization of the  
19 direct bilirubin level and were on full enteral  
20 nutrition. We saw that the ALTs continued to remain  
21 elevated. So we were wondering is it ongoing  
22 hepatic dysfunction, perhaps ongoing hepatic  
23 inflammation? But we also just didn't know if  
24 maybe this is continued disease progression,

1 despite coming off PM. We just looked at the  
2 relationship between the ALT and direct bilirubin.

3 **4.5#27 KG:** We noticed the bilirubin levels always  
4 normalized months before the ALT would, and the ALT  
5 would bounce around and remain elevated. It got  
6 better, but never got to normal. So that's  
7 something we learned is that over time, the trends  
8 got better, but they never normalized.

9 **4.5#28 KG:** When we looked at our children with  
10 cirrhosis, same pattern happened in this group.  
11 These children had normalization of the bilirubin  
12 levels, but their AST and ALT never quite hit  
13 normal. The trends improved, but they actually did  
14 not normalize.

15 **4.5#29 KG:** When we looked at GGT, the marker that  
16 we thought was better than the others, in looking  
17 at a new and improved DILI, even that number is  
18 suspect because even though some of it comes from  
19 liver, it can come from other sources, as well,  
20 including the proximal renal tubule, pancreas,  
21 heart, lung, and brain. Premies often develop  
22 intraventricular hemorrhages, so of course they  
23 have elevated GGT for a different reason. Also,  
24 breast-fed children, they get mom's GGT.

1       Apparently, there's no fractionated GGT in the U.S.  
2       However, there's a team in Italy that's currently  
3       looking at this, and that might be very useful to  
4       look at, just like we like to look at fractionated  
5       alkaline phosphatase.

6       **4.5#30 KG:** Again, GGT and DILI, GGT appears to be  
7       better, not perfect, more sensitive, but still not  
8       perfect.

9       **4.5#31 KG:** As a reminder, we showed this before.

10      **4.5#32 KG:** One thing I did add just because of  
11      yesterday's discussion, we do have a PELD score.  
12      It's like the MELD score. It's just for kids, but  
13      it's different. We don't look at creatinine; we  
14      look at growth. We did look at the improvement in  
15      the PELD score of these cirrhotic children over  
16      time, after -- they started at baseline when they  
17      started our therapy. Over a period of 12 months,  
18      it normalized. So again, it was a nice way to trend  
19      our patients' response to treatment over time by  
20      following the PELD.

21      **4.5#33 KG:** In conclusion, we have to consider all  
22      these limitations when we look at the different  
23      criteria when we're evaluating the pediatric  
24      patient. Obviously, there's more work needed to be

1 done in children. In fact, maybe we should toss the  
2 term out the window when we talk about PNALD.

3 **4.5#34 KG:** Maybe DILI shouldn't even be used when  
4 we discuss this disease state, maybe something  
5 else, like multifactorial induced liver injury,  
6 MILI, or something else. Maybe that's more  
7 descriptive of this condition.

8 **4.5#35 KG:** With that, I'd like to thank my team,  
9 Boston Children's Hospital, Mark Puder and Paul  
10 Mitchell and Alex Potemkin, and actually the FDA,  
11 Dr. Mulberg, Drs. Yap, Kim, and Chen, who they  
12 inspired us to look at our database a little  
13 differently to see if we could come up with a pattern  
14 to understand this condition a little better.

15 **4.5#36 KG:** Hopefully, after this meeting, I'll  
16 learn more, so thank you.

17

---

18 DR. WATKINS: All right, our next  
19 presentation's from Yvonne Dragan. I've known  
20 Yvonne for more than three decades, when we were in  
21 the same lab together at VCUMCV. Her current  
22 position is director of global discovery toxicology  
23 at Takeda. She will talk to us about TAK-875.

24 **4.6#1 YD:** DR. DRAGAN: I'd like to start by

1 introducing the players on the team. John and  
2 Neila are pharmacovigilance clinicians who  
3 contributed to this program. Nizar performed the  
4 pharmacogenomics data that I actually won't speak  
5 about today. Mitch Friedman is the development  
6 toxicologist. Juliana is a statistician. Francis is  
7 the investigative toxicologist, and Ohira-san is  
8 the medic who was involved with this program.

9 **4.6#2 YD:** TAK-875, as I'll call it throughout this  
10 talk, is a GPR40 agonist. It has a unique mechanism  
11 of action compared to other agents that have been  
12 developed for type 2 diabetes. It's orally active  
13 and quite potent and has been shown, in Phase 2  
14 clinical trials, to be effective. However, this  
15 compound was stopped during Phase 3 clinical  
16 development due to liver safety signals.

17 **4.6#3 YD:** I want to talk about both pre-clinical  
18 and clinical aspects of development of this  
19 compound because I think it tells us something about  
20 how we need to work together; and b) that on both  
21 sides of that divide, we don't actually understand  
22 the signals and perhaps could do a better job.

23 **4.6#4 YD:** In this particular case, in looking at  
24 the GLP toxicology studies -- here I'm just



1 discussing the 26-week study -- we actually saw no  
2 histological evidence of liver toxicity, although  
3 we did see some minor rise in transaminases. This  
4 was at a multiple of 72X, the expected efficacious  
5 dose in the clinic. We did see some centrilobular  
6 hypertrophy, but again, that would not be  
7 consistent with the small degree of rise that we did  
8 see. The NOAELs were not based on that, but rather  
9 on the submandibular gland toxicity that was  
10 observed.

11 **4.6#5 YD:** However, in the GLP tox studies in the  
12 dogs, a different pattern arose. Actually, this  
13 was both dose and duration dependent. In this  
14 particular case, I have the information for the  
15 39-week study plus 13-week recovery. In fact, we  
16 saw a number of effects in this, both time point and  
17 dose. We saw both hyperplasia of the bile duct, some  
18 inflammatory cell infiltrate, some necrosis of the  
19 bile duct gland, and in addition, there was  
20 granulomatous formation with inflammation in  
21 certain of the cases, as well as we could see  
22 precipitate in the biliary tree. Other types of  
23 toxicity were observed, and NOAELs were set with  
24 sufficient safety margins to move forward with the

1 compound.

2 **4.6#6 YD:** This is two dogs that looked -- this is  
3 the response of two of the dogs that one could see  
4 in a very short-term investigative study. In these  
5 two animals, we saw marked ALT, AST, GGT and  
6 alkaline phosphatase increases. Again, this was  
7 at a high multiple relative to the human efficacious  
8 dose.

9 **4.6#7 YD:** This is the pathology picture with  
10 regard to the impact, with respect to the formation  
11 of the granulomatous response, again in the portal  
12 area.

13 **4.6#8 YD:** The other pathology that was observed  
14 was, again, this crystalline formation in the  
15 biliary tree. This is a higher magnification.

16 **4.6#9 YD:** What's important is then taking these  
17 sections and doing MALDI mass spec analysis, we  
18 could identify that within the granuloma was  
19 TAK-875 and its glucuronide. In further analysis of  
20 these samples, we found that there were no  
21 dog-specific metabolites in either the plasma, the  
22 bile, or the liver, and that there wasn't any  
23 evidence of covalent binding to liver proteins,  
24 despite the fact that this is an acyl glucuronide.

1 But again, the reactivity level is very low, and no  
2 protein binding was uncovered. However, there was  
3 found that there was a high level -- because we had  
4 found this high level of both the parent and the  
5 glucuronide in the biliary tree, that part of the  
6 issue seemed to be that because dogs, relative to  
7 rats, have a slower bile flow rate, that we actually  
8 had precipitation of the compound, due to exceeding  
9 its solubility in the bile in these animals. This  
10 is what resulted in the granulomatous formation  
11 with inflammation.

12 **4.6#10 YD:** We did some in vitro studies to try to  
13 rule out some other potential confounders, so very  
14 typical in vitro studies to look at the glucose  
15 galactose inhibition pattern to see whether or not  
16 you might have an agent that has a mitochondrial  
17 toxicity. While certainly, at very high dose, one  
18 could observe such toxicity, if one took into  
19 account the exposure, there actually is minimal  
20 effect at the serum plasma levels that are projected  
21 for people, and also with a 10X margin.

22 **4.6#11 YD:** The other side of that is to look at the  
23 effects on the biliary transport mechanisms.  
24 Again, if one considers just the transporters

1 important for movement into the canaliculus, both  
2 for the bile slots that go through BSEP, but also  
3 for the conjugated bile slots that can utilize MRP2,  
4 it's important to consider not just the effects on  
5 a single transporter, but rather on multiple  
6 transporters.

7 **4.6#12 YD:** What's shown in this slide is actually  
8 inhibition patterns for multiple transporters by  
9 TAK-875. Typically in a development program in  
10 relatively early discovery, we'd be looking  
11 primarily at the parent compound. If you compare  
12 in the red box, the micromolar inhibition level for  
13 human, dog, and rat BSEP, they're not spectacularly  
14 different. However, if one takes into account the  
15 glucuronide in addition, one can look at the human  
16 and see that the glucuronide is much less potent,  
17 but in the dog, the combined parent and glucuronide  
18 has some activity. This is very different from  
19 what would be observed in the rat. Again, we did  
20 not see the same type of histology in the rat that  
21 we saw in the dog.

22 **4.6#13 YD:** This may be one contributor. The other  
23 thing to look at would be MRP2 and the glucuronide  
24 can inhibit the MRP2 at approximately the serum

1 level. The clinical program was stopped in 2013.  
2 Approximately at that time, this really nice paper  
3 by Morgan, et al. came out, indicating a way to  
4 consider what that in vitro BSEP or other  
5 transporter binding should be taken into in the  
6 context of the plasma serum or serum levels. In  
7 doing so, one can see that on TAK-875, which is in  
8 the aqua color in the upper box that indicates a  
9 higher risk zone, that TAK-875 would fit into that  
10 zone.

11 **4.6#14 YD:** But that alone seems to be an  
12 insufficient argument in this case, in part because  
13 we have a much higher level of both the parent and  
14 the glucuronide in the liver of the rat, so we would  
15 have expected to have overwhelmed that system in the  
16 rat if that were the only contributing factor.  
17 Still, it's probably one of many factors. I placed  
18 in this diagram to remind me to say that we are  
19 working closely with DILIsym program in order to try  
20 to model in the context of exposure cross-species,  
21 what are the risk factors that are involved for this  
22 specific compound? We have agreed, as a company, to  
23 provide that dataset to DILIsym. While this is a  
24 way over-estimate when one doesn't take into

1 account the actual exposure level or inhibition  
2 level, and it's not done per species, it does  
3 suggest that we should be looking at these very  
4 carefully for each of the species to understand what  
5 they tell us about potential risk for human.

6 **4.6#14 YD:** Bile salts can cause toxicity due to  
7 their detergent properties. Particularly MRP2 can  
8 compensate for BSEP inhibition, but an inhibition  
9 of both, again, in the context where your primary  
10 metabolite and elimination method is a glucuronide  
11 is an increased risk, for certain.

12 **4.6#15 YD:** In addition, the alteration in bile  
13 acid levels may be one of the other contributors to  
14 the decreased solubility of the compounds within  
15 the dog bile and have contributed to its  
16 crystallizing out of solution.

17 **4.6#16 YD:** Again, this is just a slide that  
18 indicates there is a decrease in the measured bile  
19 acid concentrations in dog. I want to switch gears  
20 and talk about two of the clinical programs that  
21 provide some evidence for DILI.

22 **4.6#17 YD:** First, I'd like to state that the Phase  
23 1 clinical trials did not indicate elevations of  
24 transaminases or any indication of concern. Phase

1 2 studies were performed, one in Japan and one in  
2 the U.S. and South America. Again, there was not  
3 overwhelming evidence that DILI would be a concern.

4 **4.6#18 YD:** A cardiovascular outcome trial study is  
5 required for any new type 2 diabetes compound, and  
6 the design of the study is shown here, in which the  
7 placebo versus the higher dose of 50 milligrams  
8 TAK-875 was provided. The exclusion criteria are  
9 provided at the bottom and are fairly common. Here  
10 is the dataset from that clinical trial.

11 **4.6#19 YD:** Again, for the TAK-875, relative to  
12 placebo, you can see that for the indication of 3X,  
13 upper limit of normal for the transaminases, that  
14 there is an increase in the TAK-875 group, again at  
15 the 5X, again, albeit it low, and at the 10X. I'd  
16 like to then show you the index case for that  
17 particular example. In this trial, there was one  
18 individual who presented at, I believe it was 29  
19 days after start of compound administration, with  
20 a high increase. You can see the ALT in red. You  
21 can see, in blue, the AST elevation, and the orange  
22 color is GGT. Alk phos is a gray color, and the T  
23 bili is in black. Again, this is our index case in  
24 this study that suggested that a Hy's Law-like case

1 has certainly occurred. Again, this went to  
2 adjudication and was suggested to be possible.

3 **4.6#20 YD:** A Phase 3 study that was performed in  
4 Japan, designed as follows, in which there were two  
5 dose groups, 25 and 50 of TAK-875, with the  
6 exclusion criteria as shown, was performed.

7 **4.6#21 YD:** In this study, again, the increases in  
8 ALT, three times greater than upper limit of normal,  
9 are shown here, with three cases for 25 milligrams  
10 and for 50 milligrams.

11 **4.6#22 YD:** If you look at the cases that are either  
12 five times upper limit of normal or that are three  
13 times upper limit of normal with a total bili of 2X  
14 total bili, there is one case that I'll present. In  
15 this particular withdrawn case, what can be shown  
16 here is the ALT is in blue, the T bili is in purple.  
17 This individual had gallstones and was on a number  
18 of concomitant meds, which are shown here. Again,  
19 the adjudication suggested that this is unlikely to  
20 be associated with TAK-875. However, these two  
21 cases, in part, led us to look at an aggregated  
22 assessment of all of the ongoing clinical trials,  
23 and it's based on that analysis, in order to be  
24 protective of patient safety, that we stopped the



1 development of this compound.

2 **4.6#23 YD:** The key messages that I'd like to  
3 provide to you are that the liver was identified as  
4 a target organ in non-clinical GLP tox studies, that  
5 the crystalline formation was thought to cause  
6 liver toxicity at high doses in dog, but sufficient  
7 margin existed to take the compound forward,  
8 relative to the human efficacious dose, and that we  
9 did not see a similar crystallization in the rat.  
10 In post Phase 3 termination, additional  
11 investigative studies were performed. These  
12 included demonstrating the effects on the  
13 hepatobiliary transporters, including inhibition  
14 of BSEP and MRP2. We also demonstrated that bile  
15 acid homeostasis was altered in vivo in these  
16 studies. In the in vivo dog studies, the serum bile  
17 acids were elevated earlier than the overt liver  
18 injury was observed. Bile acid quantification in  
19 these treated animals also indicated that the  
20 solubility of the compound was exceeded in the dog,  
21 in the dog bile, but calculations suggested that  
22 even under a worst-case scenario, at least a 15X  
23 margin to human at the 50-milligram dose should have  
24 been sufficient to protect patient safety. Again,

1 TAK-875 development was terminated based on the  
2 liver safety signal.

3 Thank you.

4  

---

5 DR. WATKINS: Great, thanks. I want to  
6 comment that obviously it was a big blow, I'm sure,  
7 or disappointing to Takeda, late in Phase 3, with  
8 a promising first-in-class effective drug for type  
9 2 diabetes, to call it quits. But to their credit,  
10 they have really made an effort to engage academics,  
11 our group in particular, with the genetic mouse  
12 studies, as well as DILIsym, with the desire to put  
13 all this in the public domain, so that new knowledge  
14 will come from all the patients that were exposed,  
15 as it turned out unnecessarily, to the drug.

16 Our next speaker is Scott Siler. We heard a  
17 little bit about DILIsym initiative. He's  
18 co-director, along with Brett Howell, who's in the  
19 audience, who's going to talk to us about modeling  
20 drug-induced lipotoxicity.

21 **4.7#1 SS:** DR. SILER: Thank you for the invitation  
22 to present today at this fantastic meeting. I  
23 really appreciate and am thankful to have the  
24 opportunity to do so. I'm hopeful that I'll be able

1 to, with this presentation, build on some previous  
2 presentations, both from this year and prior years.

3 Dr. Watkins has alluded to and provided a  
4 little bit of description of the DILIsym platform,  
5 which is a mathematical, mechanistic model of  
6 drug-induced liver injury. In prior years, my  
7 colleague, Brett Howell, has given similar  
8 presentations describing the platform, and last  
9 year Dr. Regev gave a nice presentation on some of  
10 the clinical aspects of drug-induced lipotoxicity  
11 within the liver. Taking all those bits and pieces  
12 together, I'd like to share with you at least our  
13 attempt at modeling drug-induced lipotoxicity.  
14 Before we enter in the realm of mathematics and  
15 predictions, let's just take a step back and remind  
16 ourselves of the underlying physiology, how it  
17 interacts, and how drugs might participate in  
18 dysregulating the system. Within the liver, there  
19 are multiple pathways that participate in  
20 regulating the lipid levels.

21 **4.7#2 SS:** When I say lipids, I'm referring to fatty  
22 acids and triglycerides, not so much considering  
23 cholesterol. There are four primary pathways for  
24 partitioning fatty acids within the liver: 1)

1 delivery of fatty acids to the liver via the adipose  
2 tissue and the circulation; 2) changes in fatty  
3 acid oxidation that can lead to the accumulation of  
4 lipids; 3) disruptions in release of triglycerides  
5 from the liver by inhibiting VLDL triglyceride  
6 release; finally 4) steatosis, from increases in  
7 de novo lipogenesis, the production of fatty acids  
8 from carbohydrates. Steatosis isn't necessarily a  
9 result of any one of these factors, but could be any  
10 of these factors combining in a variety of different  
11 ways leading to the increase in both fatty acids and  
12 triglycerides. Drugs have been shown to participate  
13 in stimulating each or many of these pathways. I  
14 think disruption in mitochondrial function, fatty  
15 acid oxidation causing steatosis is a biomarker  
16 thereof. Now in the world of treatments for  
17 metabolic diseases, we may see unintended  
18 consequences of disturbances in these lipid  
19 pathways. With respect to lipotoxicity, there's a  
20 wealth of data singling out saturated fatty acids,  
21 probably two dozen in vitro studies in hepatocytes  
22 and other cell types, where palmitic acid, the  
23 primary saturated fatty acid in the circulation, is  
24 implicated in driving up the production of reactive

1 oxygen species, so inducing oxidative stress within  
2 the cells. Saturated fatty acids have potential to  
3 cause oxidative stress. But unsaturated fatty acids  
4 do not have this effect. The main fatty acid in  
5 this group is oleic acid, found in olive oil.  
6 Downstream are disruptions in mitochondrial ATP  
7 production, and/or induction of apoptotic  
8 pathways. This is the qualitative scheme. To build  
9 a mathematical model, you need to capture all the  
10 quantitative elements.

11 **4.7#3 SS:** We've gone in the literature and pulled  
12 out as much as is known about these pathways,  
13 assembled that in those quantitative pieces within  
14 our platform that we call DILIsym. Again  
15 drug-induced liver injury is our focus here. We're  
16 really trying to develop a platform that allows us,  
17 collectively, to anticipate where we might find  
18 some challenges as we develop our drugs. It's a  
19 mechanistic mathematical model of DILI and includes  
20 what we determine, at this point, to be the primary  
21 contributors, so the underlying chemistry,  
22 biochemistry, physiology. It is then assembled in  
23 mathematical equations, and we have developed a  
24 nice graphical user interface so that we can pull

1 together these numbers, punch a button, and we'll  
2 have predictions of DILI generating simulation  
3 results that are compatible with what's measured in  
4 the clinic, what's measured in pre-clinical  
5 studies. In order to confirm that we have these  
6 qualitative and quantitative components assembled  
7 properly, we use what we call exemplar drugs to help  
8 optimize and validate the model.

9 **4.7#4 SS:** There's a nice panel here, and this list  
10 is ever growing. With our next release, there will  
11 be another half dozen or so compounds added to this  
12 list. We have them categorized, but there is a  
13 multitude of compounds that have potential signals  
14 or mechanistic components in multiple categories.  
15 For today's talk, we're clearly focusing on what's  
16 highlighted in red and highlighted in purple. In  
17 purple, in the mitochondrial toxicity, I'll talk  
18 about etomoxir in the latter half of the talk, which  
19 has the potential to be disruptive of mitochondrial  
20 ATP production directly, due to its effects to  
21 inhibit fatty acid oxidation. It also has the  
22 potential to induce lipotoxicity, and we'll be  
23 exploring that. It's an exemplar in both groups.  
24 **4.7#5 SS:** Then there are two other compounds,

1 Juxtapid and Kynamro, that we've used as examples  
2 for lipotoxicity aspects. Juxtapid is available  
3 on the market. You may know it as BMS-201038. It  
4 is now available to treat patients with homozygous  
5 familial hypercholesterolemia. It's an inhibitor  
6 of the microsomal transfer protein, so it perturbs  
7 the assembly of the VLDL particles within the liver;  
8 hence, presumably less particles in the  
9 circulation. There was a fantastic clinical  
10 study, a dose escalation study, where every four  
11 weeks the dose was escalated, enhancing a greater  
12 pharmacologic effect, less VLDL triglyceride  
13 released from the liver. However, it was found to  
14 increase liver fat. Patients who were not  
15 steatotic to begin with became steatotic either  
16 during or after this clinical study.  
17 Coincidentally, many of those same patients also  
18 developed increases in ALT. Correlation or  
19 causation, hard to say. With the Daisy platform  
20 lipotoxicity with saturated fatty acids was driving  
21 the changes in oxidative stress. We captured this  
22 data by simulating the different levels of  
23 triglyceride accumulation, with increases in ALT,  
24 by design. We used this data to help train our

1 model, to make sure that we were confirming those  
2 quantitative interactions.

3 **4.7#6 SS:** We brought that forward into simulating  
4 Kynamro, also known as mipomersen. It's an apoB100  
5 antisense oligonucleotide that wipes out the  
6 primary protein backbone of the particle, and it's  
7 also available now to treat familial  
8 hypercholesterolemia. However, these patients  
9 also showed increases in steatosis, increases in  
10 ALT, and as this graph shows, increases in cleaved  
11 cytokeratin 18, which, of course, is indicative of  
12 apoptosis. The clinical data are given in the gray  
13 diamonds, the simulation results in red, again,  
14 confirming that we have captured the quantitative  
15 elements of lipotoxicity appropriately within this  
16 clinical paradigm.

17 **4.7#7 SS:** Now we've established by targeting one  
18 of the four pathways, perturbed steatosis or VLDL  
19 triglyceride release, we were able to predict  
20 lipotoxicity. Let's explore a different pathway.

21 **4.7#8 SS:** To do that, we used etomoxir. This  
22 simulation-based study was done to determine if  
23 that clinical hepatotoxicity of etomoxir was due to  
24 mitochondrial toxicity or lipotoxicity. At this



1 point, I should probably point out, etomoxir was  
2 originally developed to treat type 2 diabetes,  
3 thinking that inhibiting fatty acid oxidation would  
4 enhance carbohydrate oxidation. Efficacy was  
5 so-so. Basically, it was terminated for that  
6 program. However, it was applied later for  
7 congestive heart failure, a pretty large Phase 2  
8 trial in Eastern Europe, the ERGO trial, was taken  
9 on. That was terminated maybe three or five months  
10 into the program because a number of early patients  
11 receiving treatment presented with increased ALT  
12 and AST. The investigators stopped the trial.

13 **4.7#9 SS:** The question has always been looming,  
14 then: what was the underlying mechanism for it?  
15 Was it because of direct disturbances on  
16 mitochondrial ATP production, or was it due to kind  
17 of a slow burn, a slow accumulation of lipids, hence  
18 lipotoxicity? We believe our platform is well  
19 suited to explore this question.

20 Just a reminder here, I think I more or less  
21 described it, etomoxir is a very potent inhibitor  
22 of fatty acid oxidation, which can lead to changes  
23 in mitochondrial ATP production, in purple, and/or  
24 the accumulation of lipids, hence oxidative stress

1 and apoptosis, in red.

2 **4.7#10 SS:** In order to do this properly within  
3 DILIsym, we needed to know a bit more about the  
4 compound, so we needed to know, on the exposure  
5 side, the pharmacokinetics, the properties, so that  
6 we can do some physiologically based  
7 pharmacokinetic modeling within DILIsym, and some  
8 mechanistic in vitro data. What is the exposure  
9 response relationship for fatty acid oxidation?  
10 We did a good job of capturing the exposure. It was  
11 also one of those compounds for which liver  
12 concentrations are greater than plasma  
13 concentrations.

14 **4.7#11 SS:** We also did a good job of capturing the  
15 fatty acid oxidation exposure response  
16 relationship, put those two together. Then we had  
17 to consider what are some of the characteristics of  
18 the patients that might have been in this trial,  
19 accounting for interindividual variability.

20 **4.7#12 SS:** To do that within DILIsym, we use what  
21 we call simulated population, or SimPops. In this  
22 case, we included mechanistic variability in the  
23 oxidative stress component in the apoptosis  
24 induction component, the mitochondrial dysfunction

1 pathways, assembled them in various different ways  
2 combinatorically, and collectively used this  
3 simulated population, these SimPops, for our  
4 simulation, our predictions of DILI. In the ERGO  
5 trial, they observed ALT increases after six weeks,  
6 and those are the gray points approximating the ALT  
7 increase within that time frame, sometime between  
8 six and ten weeks.

9 **4.7#13 SS:** As we did our simulations, only  
10 including the direct mitochondrial toxicity  
11 hypothesis, what I described in purple, you can see  
12 that we predicted zero cases of DILI, zero cases of  
13 predicted ALT increases.

14 **4.7#14 SS:** However, if we instead included the  
15 lipotoxicity hypothesis, we predicted a similar  
16 incidence, with a similar timing, of the ALT  
17 increases. This really seems to -- it's  
18 simulation. It's not hard data. It doesn't say,  
19 "Ah-ha, we have it," but it really helps us gain a  
20 little more confidence in the hypothesis that  
21 lipotoxicity was participating. Following up on  
22 that, taking these same results, these 200 to 250,  
23 I think it was, simulated patients within this  
24 SimPop, plotting them all together and looking at,

1 then, liver fat versus ALT, you can see,  
2 again -- these are basically the same data in that  
3 same way, illustrating how some patients were  
4 really particularly responsive to the accumulation  
5 of steatosis, hence lipotoxicity. Also, looking  
6 at our output of cleaved cytokeratin 18, you can see  
7 that clearly, the injury was -- there was apoptosis  
8 occurring in these simulated patients, which is  
9 consistent with that oxidative stress hypothesis.

10 **4.7#15 SS:** To summarize, the hepatotoxicity that  
11 was observed in those clinical trials, the  
12 simulation results really seem to suggest that  
13 lipotoxicity was the underlying mechanism, and had  
14 we been able to use these simulations in advance of  
15 the clinical trial, we would have been able to  
16 inform their decisions, potentially minimize the  
17 liver safety concerns, and maybe the periodic  
18 measurement of liver fat during the trial might  
19 have, again, helped separate patients, monitor  
20 patients, such that we could have avoided that  
21 injury.

22 **4.7#16 SS:** With this, now, we believe DILIsym is  
23 well poised to explore some of these other  
24 mechanisms that disrupt fatty acid lipid

1 partitioning within the liver, hence lipotoxicity.

2 **4.7#17 SS:** Here are the members of the DILIsym  
3 modeling team.

4 **4.7#18 SS:** Thank you.

5 DR. WATKINS: I might just mention,  
6 though the public/private partnership is now within  
7 the company, the goals remain the same, which is  
8 public disclosure, make availability of the model,  
9 et cetera. Our next presenter is Lans Taylor.  
10 Lans is the director of the University of Pittsburgh  
11 Drug Discovery Institute and Allegheny Foundation  
12 professor of computational systems biology. There  
13 are a number of liver models out there from the  
14 DARPA-supported Wyss Institute and MIT. This is  
15 the NCATS version. This is the one I, personally,  
16 am most excited about, so Lans.

17 **4.8#1 LT:** DR. TAYLOR: First of all, I'd like to  
18 thank the organizers for allowing me to take part  
19 in this very exciting meeting, and particularly  
20 those of you who have stayed past a logical time to  
21 avoid the traffic in this area.

22 **4.8#2 LT:** Why develop human organs on chips? We do  
23 know that there are species differences in  
24 physiology, toxicology, and response to diseases.

1 We know that humans aren't rats, although that begs  
2 a joke about either lawyers and/or politicians. We  
3 also have the potential to build a chip, with iPSCs  
4 to address patient heterogeneity in both efficacy  
5 and tox testing, in parallel to doing traditional  
6 animal testing. If this is successful, we have,  
7 potentially, the ability to minimize the amount of  
8 animal testing that would need to be done, which is  
9 driven both by financial pressures and societal  
10 pressures.

11 **4.8#3 LT:** This program is a combination of work  
12 between DARPA and NCATS of the NIH, with cooperation  
13 and collaboration with the FDA and the EPA. The  
14 goal, in a very short period of time, was to build  
15 microfluidic 3D human organ constructs on chips.  
16 The concept, from the beginning, was ultimately to  
17 get to the point where you could incorporate  
18 iPS-derived cells, so you could make these models  
19 very specific to individuals. Another kind of  
20 DARPA-esque activity here is to take the advanced  
21 individual organs and physically couple them to  
22 start looking at, at least, partial human  
23 organ-to-organ interactions.

24 **4.8#4 LT:** We've built a whole platform of a human

1 liver on a chip. It involves four cells; iIt's 3D;  
2 it's a microfluidic platform that we use for both  
3 drug discovery and development. In A, in the upper  
4 left, you can see the microfluidic component. We  
5 use four cell types. In this first generation I'll  
6 describe first, we're using primary human  
7 hepatocytes. We select a lot of cryopreserved  
8 cells that we've been following in testing a variety  
9 of things over time. Then we use three human cell  
10 lines for endothelial cells, for Kupffer cells, and  
11 stellate cells. We also have the option to add in  
12 other kinds of cells for disease. One thingsI'll  
13 show you is having the liver used as a metastatic  
14 niche for breast cancer. At the bottom of A, on the  
15 left, you can see the active area of the device.  
16 The green are hepatocytes that have been labeled  
17 with a fluorescence-based biosensor for apoptosis,  
18 under continuous flow, so there's an influx side and  
19 an efflux side. In C, right middle, from that  
20 efflux media, we can make a variety of biochemical  
21 and metabolic readouts. Because the devices are  
22 thin and designed to be optically transparent, we  
23 can also do real-time measurements of these  
24 fluorescence-based biosensors to look at

1 mechanism, like apoptosis or ROS production,  
2 calcium transients, etc. The other component of  
3 the complete platform which is critical is in the  
4 upper right, D, an MPS database we've constructed  
5 to allow us to acquire, process, manage, analyze,  
6 and ultimately model the data, but we can also draw  
7 in from external databases information we would  
8 need for modeling and making predictions.

9 **4.8#5 LT:** This is a simpler diagram, focused on  
10 this first-generation device. In scale, it's  
11 about a 0.3 microhuman. You can see, in the upper  
12 left, the plastic plumbing. There's a dime sitting  
13 next to it to kind of show the size scale. You can  
14 see the green active area, containing hepatocytes  
15 that have been labeled, and down in the lower right,  
16 you can see a diagram of the organization of the four  
17 cell types. We actually labeled the different cell  
18 types and, through confocal imaging, could define  
19 where the cells were. This isn't made up.

20 **4.8#6 LT:** You can see in this first model, since  
21 we call it the SQL-SAL, it's a sequentially layered  
22 self-assembly model, we lay down the cells layer by  
23 layer, and then allow them to interact with one  
24 another, and based on natural interactions between



1 the cell types, they form the structure. We're  
2 already using this first-generation model in some  
3 human toxicology testing, and we're also working on  
4 three liver diseases, liver cancer,  
5 hepatocarcinoma, as a niche for metastatic breast  
6 cancer, and non-alcoholic fatty liver disease.  
7 I'm not going to show you a lot of the data that we've  
8 generated over the last year and a half, but in  
9 summary, we've been looking at these by contract  
10 with NCATS over the a period of a month of activity.  
11 In the first day or so, as the cells have been  
12 layered and are interacting with one another, some  
13 LDH leakage, and then that flattens out. Since it's  
14 under constant flow, we get pretty good output for  
15 urea and albumin. You can see on the right, in B,  
16 under flow, you've got an increased output. That's  
17 been demonstrated in a lot of organ systems that  
18 when they're used to seeing a flow, they have a  
19 mechanical stimulus that changes their physiology.  
20 Some additional functions we've demonstrated,  
21 we've taken a panel of hepatotoxic drugs that have  
22 demonstrated a variety of mechanisms of  
23 hepatotoxicity. We've demonstrated, during this  
24 first month period of time, CYPs activity, so both

1 Phase 1 and Phase 2 metabolism. We also were able  
2 to induce fibrosis in the model with methotrexate,  
3 where we got an increase in collagen production and  
4 a stimulation of smooth muscle actin. We also have  
5 been able to demonstrate immune-mediated  
6 hepatotoxicity using the standard LPS  
7 trovafloxacin combination that induces apoptosis  
8 in the hepatocytes. Because we've built a  
9 panel -- and I'm not going to take the time to show  
10 you the panel of biosensors we've built -- we have  
11 drugs where we've positively tested the activity of  
12 a variety of physiological biosensors. This just  
13 shows one.

14 **4.8#7 LT:** This shows, on the left again, the active  
15 area in the flow of the device. In the center, we  
16 have, in this case, the hepatocytes that have been  
17 labeled, or a subset of them, with an ROS biosensor.  
18 On the right, we've used a mitochondrial toxin,  
19 where we've kind of double labeled them. We've  
20 used mitochondrial membrane potential sensitive  
21 dye that's in red, and the ROS biosensors in green.  
22 So you can see on the bottom, on the right, as the  
23 membrane potential is lost in the mitochondria,  
24 upon challenge, then you get a rise in the ROS

1 production. The value of these fluorescence-based  
2 biosensors, these are real time. You can look  
3 spatially and temporally within the device over the  
4 month of the activity, at least so far, of these  
5 devices.

6 **4.8#8 LT:** I mentioned the database. This is  
7 crucial to our ability to analyze the value and  
8 continually improve the model. On the left, you  
9 can see the organ model. We have a whole array of  
10 different readouts that we make over time. Those  
11 are captured in the database, in the center there.  
12 We can also draw in data on compounds from Open FDA,  
13 from Stitch and DrugBank and any database that's  
14 available -- draw in information that we can use in  
15 beginning to do the modeling. We can also build  
16 classifiers, as we build up the number of drugs that  
17 we study and their activities. So we can make  
18 predictive models of potential toxic liability  
19 because obviously, the goal is to make a projection  
20 on what would occur in the human.

21 **4.8#9 LT:** I'm going to make you read this. This  
22 just is an example of one of the readouts of our  
23 compound report, where we have the drug, the clogP,  
24 then we have information on pre-clinical results,

1 which we've pulled down from external databases,  
2 clinical information, and then graphs summarizing  
3 some of the key data.

4 **4.8#10 LT:** This is an evolutionary process, I  
5 might say right up front. I have drunk the Kool-Aid  
6 of organs on chips. On the other hand, I believe  
7 strongly that there needs to be validation, and  
8 that's where we are now in this whole process.

9 **4.8#11 LT:** I think these are lofty goals, and the  
10 progress made by all of my colleagues that are in  
11 this program on different organs is really quite  
12 spectacular, but we have a ways to go to make it a  
13 truly powerful tool.

14 **4.8#12 LT:** We've already started on Version 2.0 of  
15 the SQL-SAL. We've started focusing on the  
16 maturation of iPS-derived hepatocytes. We've  
17 optimized, to another extent, the nonparenchymal  
18 cells and the media that we're using. We also,  
19 because zonation is crucial in the liver, we've  
20 established an oxygen zonation model, and we've  
21 started implementing some of these disease models.  
22 I won't go through all the details of this. You've  
23 seen iPS cell processes for maturation. You start  
24 on the left with human skin cells, go through a whole

1 variety of magical steps, with drugs, as well as  
2 other treatments. But today, for iPS-derived  
3 hepatocytes, we still don't have fully mature  
4 hepatocytes that have been developed.

5 **4.8#13 LT:** If you look on the lower right, in the  
6 red box, that's a whole array of different  
7 functional biomarkers that characterize adult  
8 human hepatocytes. In the blue box is where the  
9 field is, essentially, now, where they're partially  
10 mature. They're still more fetal than they are  
11 mature hepatocytes. One of the things we've been  
12 doing, which I think is simple minded, but  
13 important, is -- of course, our hepatocytes don't  
14 mature from the fetal stage to the adult stage in  
15 the dish, in the presence of drugs; they do it within  
16 the developing liver. What we've done is to put  
17 these partially matured hepatocytes into our  
18 devices and let them cook for a month, and  
19 then -- we're in the middle now, so I can't tell you  
20 the answer -- the goal is to see if we can't have  
21 the whole environment that we have those cells in  
22 drive them the last stage to fully mature  
23 hepatocytes. I won't go through these details, but  
24 comparing the SQL-SAL 1.0 to 2.0, we've changed a

1 variety of things. Again, I emphasize this is an  
2 evolutionary process. I suspect we'll go through  
3 Version 2, 3, 4, and 5 over the next couple of years.  
4 A key thing that we've added is our cell layering.  
5 we've added an equivalent of a space of Disse, with  
6 a matrix between hepatocytes and endothelial cells.  
7 By controlling the flow rate across a physiological  
8 range, we can change the oxygen tension within these  
9 devices to create either Zone 1 or Zone 2.

10 **4.8#14 LT:** This just shows, in the upper left, the  
11 Nortis device, the plumbing. In cross-section, we  
12 can see that we've improved some of the structure,  
13 but a key here is the presence of this matrix that  
14 we've placed between the hepatocytes and the  
15 endothelial cells. Then this is looking down on  
16 top of that matrix, the endothelial cells make a  
17 nice sheet. Because oxygenation is so important in  
18 the liver, we wanted to create a model where we could  
19 control the oxygen tension and create at least Zone  
20 1 and Zone 3. We wanted to model that, as well as  
21 measure it. RTP, it's a ruthenium dye, which is  
22 quenched by oxygen. It's been used for a number of  
23 years for a variety of applications. We harnessed  
24 that for making measurements in real time within the

1 device. We've also modeled, based on biophysical  
2 properties of diffusion of oxygen and the  
3 consumption of the cells that are in the device. In  
4 fact, just by controlling the flow rate from 15  
5 microliters per hour down to 5 microliters per hour,  
6 we can create, essentially, conditions of oxygen  
7 for Zone 1 and Zone 3. Our goal now, when we're in  
8 the middle of those experiments, since there's a lot  
9 of activities that are distinct in Zone 3 and in Zone  
10 1, to make those measurements and demonstrate that,  
11 in fact, we have created zonation. The next step,  
12 in Version 3.0, is a redesign of the device, so we  
13 could get zonation within a single device, so we  
14 could have Zone 1 at one end and Zone 3 at the other.

15 **4.8#15 LT:** I'll just show one slide one of our  
16 disease models. This is the case of using the liver  
17 as a metastatic niche for breast cancer. About a  
18 week into having the liver model function, we add  
19 the red cells, which are fluorescently labeled with  
20 a fluorescent protein. These are aggressive  
21 cancer cells. We put those into the device.

22 On the lower right, one of the things we found out  
23 pretty quickly is that we have two subpopulations  
24 of these cancer cells, one in red, which become

1 dormant -- they don't migrate; they don't  
2 divide -- and the line in blue are rapidly dividing  
3 cells. If you treat these devices, now, with  
4 standard drugs like Doxorubicin, we can actually  
5 knock down that rapidly growing population, but as  
6 you can see in the lower left, you can see some red  
7 cells that remain. They'll remain dormant for a  
8 while. In fact, we're working on ways of how can  
9 we go after those cells, either re-awaken them and  
10 then hit them, or find a separate treatment?

11 **4.8#16 LT:** To add insult to injury, from the DARPA  
12 NCATS program, in addition to having to build an  
13 individual organ with increasing capabilities, we  
14 have to start combining organ systems. We've put  
15 together a team coupling the gut, liver, and  
16 kidney, which makes a lot of sense for tox. The gut  
17 is a collaboration with people at Hopkins, Mark  
18 Donowitz, and Baylor, Mary Estes. We're doing the  
19 liver. The kidney is led by Jonathan Himmelfarb  
20 from the University of Washington. John Wikswo at  
21 Vanderbilt is building the toys for coupling. On the  
22 left, you can see the various interconnections.  
23 One of the things that we have done is functional  
24 coupling with a combination of some drugs and some



1 other kinds of metabolites, where we take it through  
2 the gut first and take the output from that and give  
3 it to the liver and let it act on it, and take the  
4 medium from the liver and put it to the kidney. In  
5 three of the cases, out of three, where we've done  
6 that, these organ systems are doing what they're  
7 supposed to do to the molecules. Now we're dealing  
8 with the nightmare of scaling and the common medium  
9 across these organs, but we're starting to do that.  
10 I might add we wouldn't have done it if it wasn't  
11 a requirement in the program because we still have  
12 a lot to do with the liver. I'm not going to walk  
13 through this, but we are implementing, at the  
14 University of Pittsburgh, quantitative systems  
15 pharmacology for everything we do, all of our drug  
16 discovery programs, including safety testing,  
17 using an iterative computational and experimental  
18 approach.

19 **4.8#17 LT:** On the in vitro experimental approach,  
20 more and more we're using the organs on chips from  
21 humans as a better, although more complicated and  
22 lower throughput model, to look at efficacy. We  
23 also were using them in the early safety. Of  
24 course, because we can't rely on these devices fully

1 yet, we also, of course, use animal testing. I  
2 might add, if you continue the arrow around, you get  
3 to computational models, and DILIsym is in that  
4 space. Because if you really begin to understand  
5 what's happening with the experimental data, you  
6 can mathematically model it. If you can  
7 mathematically model it, you can then make  
8 predictions and go back experimentally and test  
9 whether those predictions are true.

10 **4.8#18 LT:** So in summary, our initial liver MPS  
11 systems show very promising results. We believe  
12 that developing the liver and any of these organ  
13 systems is an evolutionary process. They're going  
14 to get better every year. We think a complete  
15 platform is required to acquire, analyze, manage,  
16 and model data, as well as to compare it to  
17 pre-clinical and clinical data.

18 **4.8#19 LT:** With that, I just acknowledge  
19 colleagues that we've worked with.

20

---

21 DR. WATKINS: Great, thanks. So we  
22 saved best for last, Gyongyi Szabo, who is the  
23 professor and vice-chair of medicine associate  
24 provost at University of Massachusetts, also

1 recently stepped down from being president of the  
2 ASLD. She is going to talk about exosomes; I think  
3 next year there'll be a lot more on at this meeting.

4 **4.9#1 GS:** DR. SZABO: Thank you so much for the  
5 invitation. When John and Paul asked me to give a  
6 talk, I was elated. They didn't tell me that I'm  
7 going to be the last talk in the entire two-day  
8 meeting, so bear with me. I think exosomes are  
9 somewhat of a new kid on the block. In fact, in  
10 biomarker discovery, I think there is quite a bit  
11 of attention outside of the hepatology field. I  
12 just came from the Bioselect biomarker conference  
13 from Boston, where a lot of presentations focused  
14 on exosomes on liver disease.

15 **4.9#2 GS:** Exosomes are a subset of extracellular  
16 vesicles that are produced by various cell types  
17 under steady-state condition, but most of all under  
18 stress or disease conditions. On the left-hand  
19 side, it shows that there are microvesicles and  
20 exosomes that actually have different biogenesis  
21 mechanisms, and I'm not going to have time to get  
22 into that. Furthermore, the understanding of the  
23 intricate details of the biogenesis of these  
24 vesicles is not fully clear. But we end up with the

1 smaller vesicles, these are called exosomes, in the  
2 size of 4,250 nanometer, and larger vesicles that  
3 are called microvesicles, and those are 152,000  
4 nanometer size. These all are coated by membranes,  
5 and the membranes have certain features and  
6 characteristic markers. For example, for exosomes  
7 CD81 and CD9, always present on these exosomes, a  
8 phosphatidylserine is one of the markers of the  
9 larger vesicles, the microvesicles. I'm going to  
10 mostly talk about exosomes today. I'm going to  
11 talk about the exosomes as looking at them as  
12 potential biomarkers. I'm going to show you a  
13 little data about the biodistribution of exosomes,  
14 cellular sources, and potential functional effects  
15 in intracellular and intercellular communication.

16 **4.9#3 GS:** These exosomes can actually have various  
17 materials in their cargo. These include various  
18 nucleic acids, DNA, messenger RNA and micro RNA, and  
19 also certain proteins. In fact, these could be  
20 very specific, but also for the disease condition  
21 that induces the release of these exosomes.  
22 Indeed, the literature has some publications that  
23 exploited exosomes as potential biomarkers of liver  
24 disease.

1       **4.9#4 GS:** I don't have time to go into details, but  
2       it has been shown that exosomes isolated for either  
3       urine or serum of patients with various liver  
4       conditions can have cargos that include either  
5       certain surface markers, such as the CD10 or 26 that  
6       are listed here, but they also can have certain  
7       micro RNAs in their cargo that have been found to  
8       be changed, either decreased or increased, compared  
9       to parental cells or normal conditions, suggesting  
10      that there is some noise here that deserves further  
11      evaluation. What I'm going to talk about is  
12      actually related to the micro RNAs that are found  
13      in exosomes.

14      **4.9#5 GS:** I'm not going to have time to go into  
15      details about micro RNAs, but you heard about micro  
16      RNAs, particularly micro RNA 122, a lot in this  
17      meeting so far.

18      **4.9#6 GS:** In a previous study, we have shown that  
19      in APAP-induced liver injury, there is a rapid  
20      release of not only plasma ALT increases, but there  
21      also goes along, in a linear correlation, with micro  
22      RNA 122 in the plasma. We took it a step further  
23      and asked the question what is the portion and the  
24      fragment of the plasma where this micro RNA 122 is

1 found? In this particular case, in the APAP-induced  
2 liver injury, we found that the majority of the  
3 micro RNA actually was in the protein rich fraction  
4 that was outside of the exosomes, although there was  
5 some increase in the exosomes, as well, in the  
6 APAP-induced liver injury. This is somewhat  
7 different from many other types of liver injuries  
8 that we tested, including alcoholic liver disease,  
9 fibrosis, or nonalcoholic fatty liver disease,  
10 where most of these increases in micro RNA is  
11 actually happening in the exosome-rich fraction.  
12 If these exosomes are produced under liver injury,  
13 then one of the questions is what happens to these  
14 exosomes? What is their biodistribution?

15 **4.9#7 GS:** What we know is that composition of the  
16 exosomes can be different from the composition of  
17 parent cells, so certain compounds can actually  
18 either get concentrated or not be present in  
19 exosomes in comparison to the parent cells. It has  
20 been suggested that exosomes can also function in  
21 mediating cell-to-cell communication, both in  
22 normal physiology, but also in pathologic  
23 conditions. It has also been suggested that  
24 exosomes can actually be taken out by various cell

1 types. We have shown that hepatocytes are actually  
2 exosome-releasing cell types, and they can also be  
3 targets for exosomes.

4 **4.9#8 GS:** Another question is what happens if we  
5 introduce exosomes using a mouse model? Here, we  
6 used the mouse that is deficient in microRNA-155.  
7 We used exosomes that were actually enriched and  
8 loaded with microRNA-155 mimic. After intravenous  
9 injection of these exosomes, loaded with miR-155,  
10 we found that the presence of the microRNA =-155 was  
11 detectable in the liver and in the adipose tissue  
12 as early as ten minutes after the IV injection. Even  
13 after 40 minutes after the injection, it was  
14 detectable both in the liver and the adipose tissue.  
15 There was some noise in the lung, muscle and kidney,  
16 but that was very, very minimal.

17 **4.9#9 GS:** So what happens in the liver? We  
18 perfused livers to make sure that we are not  
19 measuring something that is still in the plasma, and  
20 isolated hepatocytes and liver mononuclear cells.  
21 We found that these exosomes actually were readily  
22 taken out by hepatocytes and liver mononuclear  
23 cells within ten minutes, and a little still hung  
24 around after 40 minutes. This told us that

1       exosomes actually are distributed very rapidly.  
2       They can go to the liver and are taken out by  
3       hepatocytes and mononuclear cells, but the turnover  
4       of these exosomes appears to be relatively short,  
5       suggesting that this is a pretty rapid and dynamic  
6       process.

7       **4.9#10 GS:** In this particular study, there are  
8       some additional data. We show that exosomes  
9       introduced into the intravenous circulation show an  
10      organ distribution that predisposes to enrichment  
11      in the liver and the adipose tissue, although it  
12      could be found in other tissues. Indeed, they enter  
13      hepatocytes mononuclear cells.

14      **4.9#11 GS:** One of our other interests is alcoholic  
15      liver disease. In alcoholic liver disease, we have  
16      shown that micro RNA 122 increase actually occurs  
17      similar to what you see in drug-induced liver  
18      injury. The increase in micro RNA 122 in  
19      alcohol-induced liver disease in mice, at least,  
20      here shows a linear correlation, but if we look at  
21      the distribution of this micro RNA, we find that the  
22      micro RNA 122 actually is enriched in the exosomal  
23      fraction in the circulation. That's also true for  
24      some of the inflammation rate in micro RNAs that are



1 increased in alcoholic liver disease, particularly  
2 micro RNA 155.

3 **4.9#12 GS:** Again, we were interested in the  
4 question of how would alcohol-induced exosome  
5 release? We took a very similar experiment that we  
6 asked normal individuals, essentially healthy  
7 controls, to consume alcohol. After a binge  
8 drinking episode, we took blood samples, half an  
9 hour, one hour, to four hours, and 24 hours later,  
10 and found that, in the top left panel, we find a  
11 significant and gradual increase in the number of  
12 circulating exosomes in these individuals after the  
13 binge drinking.

14 **4.9#13 GS:** This goes along with a rapid increase in  
15 micro RNA 155, and a somewhat slower but significant  
16 increase in micro RNA 122 in these individuals.  
17 The lower panels show that the increase in these  
18 extracellular vesicles is also reflected in  
19 patients with alcoholic hepatitis. In the lower  
20 left I show you an alcoholic hepatitis patient's  
21 plasma where there is a significantly higher level  
22 of circulating exosomes, compared to normal  
23 controls. These exosomes contain micro RNA 122 (on  
24 the left side of the right panel). In order to do

1 mechanistic studies, we returned to the animal  
2 model, and we found that if, indeed, we feed mice  
3 alcohol for four weeks, the extracellular vesicle  
4 numbers are increased compared to the pair-fed  
5 nonalcohol controls. That goes along with an  
6 increase in ALT. The electromicroscopy image of  
7 these exosomes is shown on the right lower part,  
8 making these measurements by nanosight analysis.

9 **4.9#14 GS:** In order to do biomarker discovery,  
10 obviously we're interested in the signature and  
11 cargo of these microvesicles and exosomes, so we  
12 isolated the RNA and micro RNAs from exosomes  
13 isolated from either alcohol-treated or control  
14 mice, and analyzed the extracellular vesicles from  
15 these mice.

16 **4.9#15 GS:** I'm just going to show you the results  
17 that we found and confirmed that micro RNA 122 was  
18 enriched in exosomes from the alcohol-fed mice, but  
19 we also found that certain other micro RNAs,  
20 particular micro RNA 192 and micro RNA 30a, showed  
21 a significant correlation, in terms of potentially  
22 serving as a marker for alcohol-induced liver  
23 damage in the exosomes, compared to the pair-fed,  
24 nonalcohol-fed mice.

1       **4.9#16 GS:** Then from these mice, we went back to  
2 the human situation, and now wanted to validate all  
3 of this in the patients with alcoholic hepatitis and  
4 isolate the exosomes from patients with alcoholic  
5 hepatitis. We could essentially reproduce the data  
6 that we found in mice, that exosomes isolated from  
7 patients with alcoholic hepatitis were  
8 specifically enriched in micro RNA 122, micro RNA  
9 30a, and 192, suggesting that this approach in  
10 looking for enrichment and changes in certain micro  
11 RNAs in exosomes could be a kind of good way to  
12 identify disease-specific markers. I just wanted  
13 to show you this from the literature that actually  
14 looked at exosomes in a liver-toxicity model.

15       **4.9#17 GS:** This was the galactosamine-induced  
16 liver injury, where these investigators now looked  
17 at protein content of exosomes, actually  
18 extracellular vesicles, and showed the comparison  
19 what these various proteins 90, 70, and  
20 CLUSTERIN -- you see the entire list of these  
21 proteins -- and they compared liver extracts. On  
22 the right panel, it shows the serum extracellular  
23 vesicles for the expression of these proteins  
24 between controls on the left, and the diseased liver

1 toxicity model on the right. You can appreciate  
2 that many of these proteins that they feature here  
3 show an enrichment after the liver toxicity  
4 induction. This happens in the extracellular  
5 vesicles, suggesting that not only the micro RNAs  
6 from our studies, but potentially protein markers,  
7 could also be exploited for biomarkers in these  
8 extracellular vesicles. Going back to the  
9 hepatocytes and the liver damage related exosome  
10 release, we asked the question what is really the  
11 source of these exosomes.

12 **4.9#18 GS:** By studying human hepatocytes, we found  
13 that alcohol exposure induces release of exosomes  
14 over time. Those electron microscopy images give  
15 you an idea how these little vesicles form, and I  
16 suppose that some of those are exosomes. We find  
17 that in these primary human hepatocytes derived  
18 exosomes, there is enrichment for micro RNA 122.

19 **4.9#19 GS:** The question comes what does these  
20 exosomes and these little vesicles do? Do they  
21 have any function? We essentially took these  
22 exosomes that were derived from alcohol-exposed  
23 hepatocytes and we put them on normal monocytes.  
24 What we found was that the monocytes quickly took

1 up, essentially, and got these exosomes.

2 **4.9#20 GS:** But it seemed like these exosomes had  
3 a little more than just taken up by the macrophages  
4 and monocytes because we found that there was a  
5 functional difference in those monocytes that took  
6 up ethanol exposed hepatocyte exosomes versus just  
7 nonalcohol treated normal exosomes when we combined  
8 this with LPS stimulation. Essentially, the boxes  
9 with the red boxes indicate that the micro RNA 122  
10 content actually increased in the monocytes when  
11 they were exposed to these exosomes.

12 **4.9#21 GS:** I must mention that micro RNA 122  
13 actually is almost undetectable otherwise in  
14 monocytes, in immune cells. These exosomes  
15 transfer the micro RNA 122. But they not only  
16 transfer it, but it appears that these micro RNA 122  
17 may have a functional effect. Because what we  
18 find, that after the transfer of these hepatocyte  
19 derived exosomes, and particularly in the  
20 hepatocytes that were exposed to alcohol, we find  
21 that the micro RNA 122 target, hemoxygenase-1, is  
22 reduced, and hemoxygenase-1 also has a role in  
23 induction of pro-inflammatory cytokines and kind of  
24 an adverse regulatory effect on it. So in those

1 cells that were exposed to the hepatocytes that were  
2 exposed to alcohol, now these exosomes are given to  
3 the monocytes, we find that there is an, actually,  
4 augmentation of pro-inflammatory cytokine  
5 production at the level of TNF and IL1beta,  
6 suggesting that these exosomes from hepatocytes  
7 actually can modify the function of immune cells,  
8 potentially, through micro RNA 122 transfer.

9 **4.9#22 GS:** In summary, what I showed you is that  
10 exosomes could be unique signatures, in terms of the  
11 exosomes cargo, in drug-induced liver injury, and  
12 potentially in alcoholic liver disease. We  
13 certainly need to learn way more, and potentially  
14 in other liver diseases, as well. It appears that  
15 the biodistribution of the exosomes is in a way that  
16 they're rapidly taken up in the liver into  
17 hepatocytes and immune cells, and the hepatocytes  
18 certainly are a very profound cellular source, but  
19 also a target of exosomes. Functionally, it  
20 appears that exosomes taken up by targets can  
21 actually alter the function of the recipient cells.  
22 This particularly is true in the context of  
23 hepatocyte derived exosomes, how they can modulate  
24 immune cell functions in the liver.

1       **4.9#21 GS:** I'd like to thank NIAAA and NIDDK for  
2 funding my colleagues in the lab. Thank you.

3

---

4                   DR. WATKINS:     Okay.     The hour is  
5 getting late, but we still have 20 minutes for  
6 questions. One thing about exosomes that's very  
7 intriguing is they're small enough to get out of the  
8 hepatocyte through the fenestrations. If they're  
9 containing certain key messengers and things that  
10 would predict a DILI or severe DILI, you should be  
11 able to sample them, even in a totally healthy  
12 liver. There is one publication, Natalie Holman's  
13 publication just came out in Tox Sci, showing that  
14 with acetaminophen, prior to toxicity, in the  
15 absence of stress, the exosomes that are released  
16 have changes in their contents, which may be the  
17 first step towards exploring what these exosomes  
18 are doing. Questions?

19                   PARTICIPANT: I've got a question for  
20 Lans. That was really elegant. You've got these  
21 chips; you have all these different cells. The  
22 only one that I was really troubled by is you dropped  
23 in a monocyte -- it wasn't really a monocyte. It  
24 was a tumor cell line, THP1. You're taking a risk,

1 I think, by putting in a tumor cell, letting it go  
2 for a month, and asking whether -- whereas, you can  
3 get the same monocytes from the same patient and add  
4 those.

5 DR. TAYLOR: It's an important  
6 question. Let me -- this isn't an excuse, but just  
7 a statement. In this program, we had strict  
8 milestones to meet, in terms of performance of the  
9 models, so we took a lot of shortcuts. This is,  
10 remember, a DARPA-like program.

11 PARTICIPANT: Oh, you mean it meant  
12 money here or something.

13 DR. TAYLOR: We wouldn't continue to be  
14 funded unless we made these steps. Now that we've  
15 kind of proven that we can make the models work and  
16 it does a lot of things, now we're focused more on  
17 using patient cells. In the early stages, we just  
18 had -- the goal was to get the system functioning  
19 for a month and have a proof of concept, so we took  
20 some shortcuts. I agree with you.

21 PARTICIPANT: Okay. Then that same  
22 cell, actually, in the last talk, it was referred  
23 to as a normal monocyte, but it really was a THP1.  
24 You did call that a normal monocyte in your talk,



1 at least I thought I heard that. Same question to  
2 you. Why can't you use monocytes --

3 DR. SZABO: I'm sorry.

4 PARTICIPANT: Yes, your talk.

5 DR. SZABO: Those were for normal  
6 individuals. They were not from alcoholic  
7 patients.

8 PARTICIPANT: All right, but your slide  
9 said THP1 on the slide.

10 DR. SZABO: We did both of them,  
11 actually may have showed that, but we validated it  
12 in human monocytes, as well.

13 DR. WATKINS: By the way, in the DILI  
14 network, those 1,500 people, we also have frozen  
15 peripheral blood monocytes from them and the  
16 ability to contact them. We've already made iPS  
17 cells from one woman, and Cellular Dynamics made  
18 hepatocytes and macrophage-like cells. So as the  
19 technology progresses, there is a group of very well  
20 phenotyped DILI patients that you could go after iPS  
21 drive cells or even primary cells. Yes?

22 DR. ROSENBERG: I'll ask the same  
23 question that brought riots of laughter last night  
24 to you, that is you're beautifully modeling an organ

1 on a chip. What would it take to scale up, to have  
2 an artificial liver?

3 DR. TAYLOR: Great question, and we  
4 have the McGowan Regenerative Institute at the  
5 University of Pittsburgh. There are people there  
6 building bioreactors, which are 1,000 or more fold  
7 larger. I really think we have a ways to go in the  
8 micro devices, where we can understand the  
9 physiology, get the right cells, finish the iPS cell  
10 derived system, so we can have the right genetic  
11 backgrounds from different people, as well as  
12 disease backgrounds. If we get to that point in the  
13 micro devices, where we could do lots of  
14 experimentation rapidly -- that's one of the values  
15 of it -- then I think that door is open for the  
16 future.

17 DR. WATKINS: Frank Sistare.

18 DR. SISTARE: Question about the  
19 exosomes. What do you have to do to process the  
20 sample? Can you just freeze it and come back to it,  
21 or do you have to process it immediately, do  
22 ultraspin? What do you have to do to get the  
23 exosomes? How heroic or how difficult is it?

24 DR. SZABO: The isolation itself is

1 quite labor intensive, but they are stable. So the  
2 good news is that you can freeze the samples.

3 DR. SISTARE: Right away, you don't  
4 have to process it right away?

5 DR. SZABO: Yes, and the recovery -- and  
6 we find that the function, even, of the exosomes is  
7 fairly retained after thawing. Repeated  
8 freezing/thawing probably doesn't help.

9 DR. SISTARE: But at least the first  
10 thaw, the exosomes is stable?

11 DR. SZABO: Right.

12 DR. SISTARE: But there's a kind of an  
13 intensive process that has to --

14 DR. SZABO: Right.

15 DR. SISTARE: To me, I wonder whether  
16 they'll catch mainstream use, other than research  
17 use, if they have to go through that kind of process?

18 DR. SZABO: This is a developing area,  
19 as I stated. This is kind of a new kid on the block.  
20 When it comes to the diagnostic arm of this, there  
21 are new methods coming out, so I don't think that  
22 in the future, particularly for diagnostic  
23 purposes, you necessarily need any of the very  
24 complicated methods.

1 DR. SISTARE: I also had a question for  
2 to Yvonne. I'm struggling exactly how to put it  
3 into words. You have a process in the dog which  
4 took some time. When did you begin to see that  
5 signal where it was the drug coming out of solution  
6 in the bile? When did that start to happen?

7 DR. DRAGAN: We could certainly see  
8 this process -- certainly, in our four-week pivotal  
9 tox study, we could see this with one gram per  
10 kilogram. We knew then that we had an issue, so  
11 that when we went further on and realized what was  
12 occurring, we could reproduce this in a two-week  
13 period, in the dog, at that very high dose that I  
14 showed of 600 milligram per kilogram.

15 DR. SISTARE: Okay, but at a lower dose,  
16 you saw in a 39-week study --

17 DR. DRAGAN: Correct, so --

18 DR. SISTARE: -- you saw it late into  
19 that study. How late was it? The signal, like an  
20 alk phos, started to come up pretty late.

21 DR. DRAGAN: That was very late, yes.

22 DR. SISTARE: The picture that emerges  
23 is you're giving the same drug for all that time,  
24 but it's taking a while for it to come out of

1 solution.

2 DR. DRAGAN: I think there are two  
3 separate processes occurring here, and it's both  
4 dose and time dependent in the dog, so that you have  
5 your overall solubility issues related with the  
6 compounds themselves, so that's one piece, so a  
7 higher dose, you see it sooner.

8 DR. SISTARE: Understood, but I'm going  
9 to focus on the lower dose.

10 DR. DRAGAN: Okay, so then on the lower  
11 doses, then, you have the concurrent process of  
12 inhibition transporters and alterations of amount  
13 of compound in the bile at any given time. I'd say  
14 that combination of those two, and possibly other  
15 effects, are what are contributory there.

16 DR. SISTARE: But you're thinking that  
17 the amount of drug being transported into the bile  
18 is increasing over time, I guess. The changes that  
19 are occurring are taking a while to occur.

20 DR. DRAGAN: As you have a lower dose of  
21 drug, yes.

22 DR. SISTARE: Yes, but it's taking many  
23 days.

24 DR. DRAGAN: What's interesting is that

1 cholestatic process that you see very clearly in the  
2 dog is not the picture we saw in the clinic. It's  
3 more hepatocellular.

4 DR. SISTARE: Yes, so let's get to that.  
5 The thing about the gallstone disease, now you're  
6 starting to think maybe are they so different.  
7 Something in solution is coming out of solution.  
8 It's not the drug; it's an endogenous substance.  
9 You talk a little bit about perhaps -- I think you  
10 kind of suggested that maybe, over time, you are  
11 altering the solubility, perhaps indirectly  
12 through some other transporter, something that's  
13 perhaps responsible for phospholipid content or  
14 something like fluid content or something like  
15 that. You wonder if they're not unrelated?

16 DR. DRAGAN: I can't say that they're  
17 not. We didn't look into that. We did not do any  
18 experiments to address what are the endogenous  
19 substrates of these transporters, and what is the  
20 impact of the concurrent administration of this  
21 amount of drug.

22 DR. SISTARE: We've got a Scott Siler to  
23 model that for us. He's going to model that. Then  
24 the bigger question, I guess, is here we are in drug

1 development, finding one or two cases like this, and  
2 then trying to make this question about do we  
3 continue to invest millions of dollars to try to  
4 bring this across the finish line? You've got  
5 these two Hy's Law cases. One said maybe, one said  
6 probably not, but yet -- and one of them is like,  
7 "Maybe the drug is actually altering the  
8 constituency of the bile in such a way that people  
9 who are prone to bile acid diseases or gallstones  
10 may actually get these attacks. Is that another  
11 form of DILI that we have to worry about at the end  
12 of the day? Those are questions that we face when  
13 we develop these drugs.

14 DR. DRAGAN: But at the end of the day,  
15 the aggregation of all of the available Phase 3 data  
16 and the liver signals therein, the fact that the  
17 duration, until we saw them, these were  
18 contributing factors to our decision.

19 DR. SISTARE: I know. No, I know  
20 they're difficult decisions. The other thing I  
21 wonder about -- Greg is not here still, but I wonder  
22 if something like -- I don't know anything about  
23 this HepQuant, whether in the dog, prior to  
24 demonstrating any increase in alk phos, if there's

1 some sort of measurement of overall ability to take  
2 an endogenous bile salt constituent and transport  
3 it? I don't exactly know how that works, but I  
4 wonder if something like that is something -- again,  
5 Scott, you can model for us. We can give you data  
6 in such a situation.

7 DR. DRAGAN: In these really high dose  
8 exposures in mouse, rat and dog, we can see an  
9 increase in serum total bile acids. Again, whether  
10 that's contributory or not, I can't say.

11 DR. SISTARE: Yes. Okay, thanks.

12 DR. WATKINS: Yes, next?

13 DR. LUFFER-ATLAS: Debra Luffer-Atlas  
14 from Eli Lilly. I actually wanted to ask a  
15 different question to Yvonne about the Takeda  
16 story. That is first of all, you guys were amazing  
17 in the amount of data you shared in the public  
18 domain, so all this is published -- not the tox, not  
19 the animal studies, but all the clinical data was  
20 published, and there was a poster at the ADA from  
21 Steve Nissen's group on your cardiovascular safety  
22 study. We did a deep dive on what's published.  
23 Having looked at the whole picture -- the last line  
24 of questioning was all about risk, risk, risk. We



1 honed in on the fact that you had really limited  
2 benefit at the end of this longer period of dosing,  
3 especially given that the GPR40 mechanism was  
4 supposed to be a safer mechanism insulin,  
5 glucose-dependent insulin secretion without the  
6 risk of hypoglycemia, so that you're going to be  
7 able to differentiate from sulfonylurea. At the  
8 end of the day, your hemoglobin A1C is eh. Want to  
9 bring up the perspective here, especially with the  
10 FDA, that there is still a benefit/risk argument to  
11 be made. If you were gangbusters on benefit, the way  
12 that you had hoped you would be -- and yes, you still  
13 have your two cases, although I would argue can you  
14 really say the gallstone case was caused by, or it  
15 was perhaps a predisposition or a pre-existing  
16 condition? I saw 1.6X on the T bili. That doesn't  
17 actually technically meet Hy's Law. So you have  
18 these two cases, you also have some other background  
19 incidences, but again, how did this play into your  
20 thinking, in terms of a benefit versus risk ratio,  
21 rather than just looking at them independently?

22 DR. DRAGAN: Absolutely. That's  
23 always a consideration here. It's about the  
24 patient and what benefit they would derive from

1 this, in the context of the risks that you observe.  
2 Again, on initial treatment out to 24 weeks, there's  
3 pretty good efficacy signals. With longer term, it  
4 becomes less clear.

5 DR. WATKINS: Last question before we  
6 close.

7 DR. TREEM: Will Treem from Janssen. I  
8 want to congratulate Kathleen and her colleagues at  
9 Boston Children's, Mark Puder, Chris, Doug, and  
10 others for this work because it certainly has  
11 changed our ability to care for these infants with  
12 short bowel syndrome and maintain their livers, so  
13 that they don't need either a liver transplant or  
14 a combined small bowel/liver transplant. The only  
15 question I have is that I was fascinated by your data  
16 that persistence of ALT elevations -- as you, I'm  
17 sure, know, the people in Nebraska have reported on  
18 liver biopsies going out on children who were  
19 treated with fish oil instead of omega 6 -- omega  
20 3, instead of omega 6 -- show that their bilirubins  
21 came down to normal, and then had some biopsies  
22 which showed either persistent fibrosis, or even  
23 worsening fibrosis during the time they were  
24 followed on PN with omega 3 fatty acids. There's

1       been some animal data that suggested that omega 3s  
2       ameliorate steatosis in animal models of fat  
3       overload to the liver, but not steatohepatitis.  
4       I'm just curious how are you following these kids  
5       that now have normal bilirubins, maybe still on  
6       parenteral nutrition, omega 3s.    What are you  
7       looking at, and how are you following them, and  
8       what's your thoughts about what else we need to do  
9       to prevent progression of fibrosis?

10               DR. GURA:   Thank you for your comments.  
11       What we're doing is just trying to prevent -- it's  
12       PN-associated liver disease.    At least we know  
13       better than the conventional soy-based lipid  
14       emulsions.    We don't think it's perfect.    We're  
15       actually thinking maybe we need a better ratio of  
16       oils.    It could be, also, the absence of  
17       phytosterols.    It may simply just be better than  
18       what we used to have.    We're looking at other  
19       factors, also, like prevention of sepsis, avoiding  
20       other hepatotoxic meds.    People forget that the  
21       Zantac that's put in the PN could also be  
22       contributing to these elevations in transaminases.  
23       We also make sure they don't go to the OR while they  
24       have any kind of hyperbilirubinemia.    It's a big

1 package. We're just continuing to learn, and  
2 that's the beauty of this. We buy ourselves time  
3 to learn more. We don't biopsy unless we're in the  
4 OR. We won't take a child just to have  
5 them -- because the babies will bleed out if they're  
6 still sick, so they only get a biopsy if they happen  
7 to be in the neighborhood. A lot of it, we're still  
8 learning, trying to perfect the lipid emulsions,  
9 trying to get the kids off, trying to get their  
10 bowels to adapt. That's our biggest challenge is  
11 that we want to get these kids of parenteral  
12 nutrition. It's still a work in progress.

13 DR. WATKINS: Thanks, everybody, for  
14 staying. John already is working on the next one  
15 next year, and I can tell you it's going to be the  
16 best ever, so round of applause. Thanks,  
17 everybody.

18 (Whereupon, the above-entitled meeting was  
19 concluded at 4:10 p.m.)

20 =====  
21 =====